

1 thank the committee for the opportunity to address
2 you on this issue. My name is Bob Cloud, and I
3 would like to briefly talk to you, first about my
4 own long, personal use of Xyrem, and I will call it
5 Xyrem not GHB or sodium oxybate and, secondly, my
6 very serious concerns as director of Narcolepsy
7 Network, which is a national non-profit, primarily
8 patient organization. In that capacity we have
9 received funds, a minor amount of funds, perhaps
10 ten percent of our revenues, from Orphan Medical
11 over the last several years.

12 First, my personal experience with Xyrem
13 as a narcolepsy patient with cataplexy. I am 57
14 years old, married, have two adult children, and I
15 am an attorney in private practice, primarily
16 family, probate and criminal law which sometimes
17 can be intense and have a few emotions attached to
18 it.

19 I believe I am the first American to have
20 used Xyrem for narcolepsy, and I am probably the
21 longest continuing user of Xyrem which now
22 approaches 19 years every night without fail. My
23 narcolepsy/cataplexy symptoms began in the mid-30's
24 and by age 39 included severe and recurring
25 cataplexy together with excessive daytime

1 sleepiness and sudden sleep attacks. My cataplexy
2 caused numerous daily episodes of complete body
3 collapse, such that I couldn't leave my office or
4 home without risk of harm to myself or others.
5 Feeling any emotion, humor, anger or mere
6 enthusiasm, would result in sudden immediate
7 collapse. I guess we are all ignorant of what
8 diseases feel like that we don't have them, but my
9 best description of the sudden collapse of
10 cataplexy would be to imagine a puppet on strings
11 and suddenly the strings, which are your muscle
12 tone, are immediately let go and so you fall to the
13 ground immediately, and your head comes down last
14 and whips against whatever -- sidewalk or table
15 corner or escalator or whatever might be there. I
16 have been rescued by police and emergency squads
17 and life guards and well-meaning strangers and
18 friends.

19 Obviously no injury for me has been fatal
20 because I am here, but unfortunately I do know
21 others whose fall has occurred at the top of the
22 stairs and they fell down backwards and killed
23 themselves, and there are others that I don't know
24 about.

25 In 1982 my treating physician sent me to

1 Sunnybrook Medical Center in Toronto, Canada to
2 begin prescriptive use of Xyrem under the research
3 being conducted by Dr. Mortimer Mamelak. After
4 three weeks I returned home and continued using
5 Xyrem, always prescribed by my local physician
6 under his own individual investigational new drug
7 application. My severe cataplexy symptoms
8 disappeared almost overnight. I was immediately
9 able to return to my full-time law practice and I
10 have continued to this day to use Xyrem under that
11 individual application and subsequently in the
12 clinical trials under the Orphan Medical
13 application. During these 19 years, I have never
14 changed the dose. I have never experienced
15 tolerance. I have never noted side effects.
16 Simply stated, the drug is as safe and effective as
17 it was on day one. It is hard to imagine a
18 pharmaceutical product having such a quick,
19 complete, safe and enduring benefit.

20 As director of Narcolepsy Network, I have
21 said on a number of occasions that I think the
22 greatest tragedy in the treatment of people with
23 narcolepsy is that Xyrem or GHB has not been
24 available so that other patients could benefit from
25 it as I have. Hopefully, this committee will

1 remedy that.

2 We are sensitive to the reports of
3 injuries and deaths and other victimizations from
4 the abuse of GHB and, as an organization, we work
5 with law enforcement and community drug agencies to
6 partake in their activities to limit that and
7 correct that. I think it is obvious that Orphan
8 Medical is going above and beyond the call of duty
9 to also contribute to restricting the unlawful use
10 of GHB.

11 In closing, I submit that our concern for
12 patients with narcolepsy should receive your
13 highest considerations so that people can
14 rediscover their economic and particularly their
15 family lives and avoid disability. Thank you.

16 DR. KAWAS: Thank you, Mr. Cloud. The
17 next speaker is Cindy Pekarick from Pennsylvania.

18 MS. PEKARICK: Hi. My name is Cindy
19 Pekarick, and I am here today to tell you how GHB
20 killed my daughter. In October of 1998, my
21 daughter Nicole, a college student and gym
22 enthusiast met a new boyfriend who introduced her
23 to a product called Renewtrient. In November she
24 researched the product over the Internet and
25 received only positive information. She could take

1 it before bedtime and wake up in only four hours
2 feeling refreshed, well-rested, and all her muscles
3 would be completely recovered and ready for another
4 workout.

5 In December I found out she was taking
6 this supplement. I didn't believe the promises
7 made by the advertisers. Arguments ensued and she
8 promised she wouldn't drink it anymore. She was
9 away at school from mid-January until April.

10 In April she returned home. She was
11 behind in all her bills. She was black and blue on
12 her arms and legs. She stopped attending classes,
13 and she kept losing things. In May I discovered
14 she had essentially dropped out of school.

15 In June I could see mild changes in her
16 behavior. She began taking power naps, as she
17 called them. She would sleep three hours in the
18 middle of the day and get up at four o'clock and go
19 to work. She continued losing things and having
20 difficulty paying her bills. I searched her room
21 and car but found no evidence of substance abuse.

22 By July, my younger daughter, Noelle,
23 informed me that Nicole was having problems. She
24 said, "mom, she isn't taking anything bad or
25 illegal. She takes a muscle supplement that

1 doesn't agree with her. Sometimes she has bad
2 reactions and she doesn't even know it. She
3 embarrasses herself and me when she acts weird and
4 then goes to sleep. When she awakes she never
5 remembers anything that she did. She started
6 taking it once in a while so she could go to sleep
7 right away after work when she got home. Then she
8 started using it more often. It disgusts me to see
9 her out of control."

10 It was at this time I discovered Nicole
11 had been taking GHB since November. I then began
12 my own search over the Internet for more accurate
13 information. In August, Nicole was found having a
14 seizure in a public bathroom. She had urinated and
15 defecated on herself while pulling at her clothes
16 and hair and flailing her arms. She was rushed to
17 the hospital where we arrived to find her
18 unconscious, intubated, with her arms, legs and
19 waist strapped to the bed. They claimed her
20 seizure was violent. She barely had a pulse when
21 they found her.

22 It was at this time I knew my daughter was
23 addicted to whatever she was taking. There is
24 absolutely no other reason why a young, bright,
25 healthy woman would take a supplement that was

1 harmful. I begged the doctors to transfer her to a
2 treatment center for chemical dependency, but they
3 said they wouldn't do it without the patient's
4 permission. She was clueless as to why she was
5 hospitalized and she had no recall of anything that
6 happened to her. She was discharged.

7 In September, Nicole, sweating profusely,
8 with a red face and shaking hands while crying
9 said, "mom, I have to talk to you. I'm really
10 scared. I have a problem. I can't stop drinking
11 it." I stood up, wrapped my arms around her and
12 hugged her as hard as I could. I told her that she
13 was on her way to getting better, that
14 acknowledging that "g" had a hold on her was a step
15 in healing.

16 On Monday morning, on her way to the
17 treatment center, Nicole refused to go in. She
18 claimed that "g" wasn't addictive; that she did the
19 research and she was just having reactions to it.
20 She said she was now in control of her life and
21 future. She stayed in counseling and, by the end
22 of September, Nicole had applied, transferred, and
23 was accepted at the university. She was excited.
24 Things seemed okay on the surface but she was
25 hiding tremors, hallucinations and insomnia. She

1 went days without sleeping but never told me.

2 On October 3, 1999 at 2:00 p.m. she said
3 she needed to take a nap before she went to work
4 since she hadn't slept the night before. She set
5 the alarm for 4:00 p.m. but she never heard it.
6 She was in her final sleep. My firstborn child was
7 found in bed, blue, at 6:00 p.m. We found a bottle
8 of GHB in the trunk of her car. The autopsy
9 revealed she had GHB and GBL in her system at the
10 time of her death. No other chemicals were found.

11 Nicole was an honor student, captain of
12 two varsity teams and graduated third in her class.
13 For her undergraduate studies she majored in
14 biology, with a plan to major in engineering for
15 her master's degree. Her ultimate goal was to
16 become a biomedical engineer. She wanted to be
17 able to design body parts to help extend people's
18 lives. She understood that to function well, one
19 had to be healthy. She was a loving, sensitive,
20 caring and intelligent woman. Her only fault was
21 that she was naive. Thank you.

22 DR. KAWAS: Thank you, Mrs. Pekarick. The
23 next speaker is Eric Strain. Doctor Strain is from
24 the College on Problems of Drug Dependence.

25 DR. STRAIN: Thank you. I would like to

1 thank the FDA and the members of the Peripheral and
2 Central Nervous System Drug Advisory Committee for
3 providing me the opportunity to speak. My name is
4 Eric Strain. I am a professor in the Department of
5 Psychiatry at Johns Hopkins University School of
6 Medicine. I am a board-certified psychiatrist with
7 qualifications in addiction psychiatry, and I am
8 here today representing the College on Problems of
9 Drug Dependence, CPDD.

10 The College is the leading organization of
11 drug abuse scientists in the United States. I am
12 also the former chairman of the FDA's Drug Abuse
13 Advisory Committee. I have sponsored my own travel
14 to today's meeting, and I have no relationship with
15 Orphan or other pharmaceutical companies that make
16 narcolepsy products.

17 There are two point that I would like to
18 make during these brief comments. The first is
19 that the College on Problems of Drug Dependence
20 would like to emphasize the importance of
21 science-based assessments of new medications,
22 especially as they relate to issues such as abuse
23 liability evaluation and safety of abused products.
24 The College wishes to stress the long history that
25 has led to the establishment of reliable and valid

1 methods for determining abuse potential. This work
2 includes both preclinical as well as clinical
3 studies. Several academic medical centers contain
4 rich experience in this area of research. Methods
5 have been well tested, and outcomes from previous
6 studies have helped inform and guide agencies such
7 as the FDA in making determinations regarding abuse
8 potential, therapeutic efficacy, and safety of new
9 medications.

10 CPDD has played a key role in such
11 matters, as its members are the primary group that
12 have conducted such studies. The College wishes to
13 strongly and forcefully advocate that decisions
14 made by the FDA grow out of and be based upon
15 well-conducted research, and whenever possible
16 decisions should be derived from well-controlled
17 studies and data driven. In order to achieve such
18 goals, advice on substance abuse related matters
19 should be solicited from experts in the field.

20 The second point I would like to make has
21 to do with the Drug Abuse Advisory Committee. As
22 the former, and the last chairman of this advisory
23 committee of the FDA, I believe it is important for
24 me to comment upon its termination. The Drug Abuse
25 Advisory Committee has been dissolved by the FDA,

1 and in the process the FDA has lost an important
2 resource that can inform decisions regarding
3 substance abuse. To my knowledge, today's meeting
4 is the first FDA advisory committee meeting since
5 this termination where issues of drug abuse are an
6 important element in your discussions.

7 I am pleased to see that there are several
8 drug abuse experts represented here today, however,
9 I am concerned that the numbers do not allow the
10 breadth of expertise that would have been found on
11 the DAAC. Such breadth is essential to fully
12 consider all of the issues involved in advising the
13 FDA on the abuse potential of new medications, the
14 extent of the public health consequences of such
15 abuse, additional data that the FDA should require
16 companies provide, and recommendations regarding
17 post-marketing surveillance.

18 The College is particularly concerned that
19 comparable experience and knowledge brought to the
20 Drug Abuse Advisory Committee by experts in the
21 drug abuse field is no longer readily available to
22 the FDA. In my experience as chairman of the
23 committee, I was able to witness firsthand on
24 repeated occasions the value of having a group of
25 scientists and clinicians who could provide

1 informed knowledge and experience to the FDA on
2 matters such as those that appear to be on today's
3 agenda.

4 The loss of the DACC to the FDA is
5 significant and substantial, and adequate
6 representation of drug abuse issues on other
7 advisory committees needs to be clearly
8 demonstrated by the FDA. I speak on behalf of the
9 College in expressing the College's continued
10 concern regarding the dissolving of this advisory
11 committee. Given the tragic consequences of drug
12 abuse to our society, as exemplified by the
13 previous speaker, its prevalence and the growing
14 body of medications for the treatment of substance
15 abuse disorders, it is particularly concerning that
16 the FDA has decided to terminate this particular
17 advisory committee.

18 Again, I wish to thank the FDA and this
19 advisory committee for allowing me to make these
20 comments today. The hope of the College is that
21 these companies will spur tangible demonstration of
22 FDA's commitment to having adequate outside input
23 by experts in the drug abuse field in the advisory
24 committee process either through the renewal of the
25 Drug Abuse Advisory Committee or through adequate

1 and substantial representation by drug abuse
2 experts on other advisory committees where issues
3 of drug abuse may be of substantial importance.
4 Thank you.

5 DR. KAWAS: Thank you, Dr. Strain. The
6 next speaker is Deborah Zvorsec. Dr. Zvorsec is
7 from Hennepin County Medical Center in Minnesota.

8 DR. ZVORSEC: Thank you very much. My
9 research is in the area of gamma hydroxybutyrate
10 abuse toxicity, addition and withdrawal. Dr. Steve
11 Smith and I, with others, published a case series
12 in Morbidity and Mortality Weekly Report in
13 February of '99 that described adverse events due
14 to ingestion of dietary supplements containing GRI,
15 GHB precursor. I was the lead author of a case
16 series of 1,4 butanediol toxicity that was
17 published in The New England Journal of Medicine in
18 January 2001. These toxicity episodes included two
19 deaths that occurred with no co-intoxicants and no
20 evidence of aspiration or asphyxiation or
21 adulterants.

22 I will focus today on GHB addiction. In
23 the course of our work, Dr. Smith's and my name
24 were listed on the project GHB help site. We
25 received calls from over 40 addicted patients from

1 25 states, and have treated an additional 5 cases
2 of inpatient withdrawal at HCMC in Minneapolis.

3 The vast majority of these addicted people
4 began using GHB to treat insomnia, anxiety,
5 depression, chemical dependence or for
6 body-building purposes, as recommended by
7 marketers, websites and fringe pro-GHB physicians.
8 Many, indeed, began with GHB, continued with GHB
9 and never used any of the dietary supplement
10 analogs. Our patients began with small doses,
11 often only at night, and discovered that it made
12 them feel good; increased dosing frequency and, as
13 tolerance developed, needed more GHB in order to
14 feel good. Within months, they were taking GHB
15 every one to three hours around the clock to avoid
16 withdrawal symptoms. By the time they realized
17 that they might be physically dependent, attempts
18 to abstain resulted in severe anxiety, insomnia,
19 panic attacks and hallucinations.

20 Their addiction destroyed their lives.
21 They lost their spouses. They lost access to their
22 children, their jobs. They acquired tremendous
23 debt to support their habit. They became comatose
24 while driving and crashed their cars, frequently on
25 multiple occasions. They called us in absolute

1 desperation. Their detox was frequently similar to
2 the worst cases of delirium tremens, requiring
3 large and often massive doses of sedatives, often
4 with intubation.

5 Almost all patients suffered weeks or
6 months of profound depression and anxiety after
7 detox, and some also experienced muscle twitching
8 and tremors. Of the over 40 patients we have
9 worked with, only a scant handful have remained
10 GHB-free, frequently despite CD treatment. Many
11 have detox'd numerous times but continue to
12 relapse, sometimes within hours of discharge from
13 treatment. Unfortunately, many never lost faith in
14 GHB and continued to be convinced that they could
15 get back on it and use it responsibly. They
16 continue to argue its health benefits.

17 One of our patients was a 50-year old
18 businessman with his own business who began using
19 GHB, not an analog, five years ago, initially for
20 body-building purposes. Within months he had
21 increased his dosing to around the clock. His life
22 was entirely controlled by the need to have GHB
23 with him at all times. He tried numerous times to
24 quit. His wife was unaware of his addiction. She
25 described witnessing frequent frightening hypnotic

1 states, punctuated with clonic movements. She
2 believed that his frequent states of apparent
3 somnambulism were due to a sleep disorder but
4 despaired when a sleep specialist could not cure
5 him. This woman is a very bright professional who
6 was totally unaware of GHB, as is the case with
7 many family members. It was only on the morning of
8 his admission that she learned the truth. After
9 six days of detox he was through the worse and
10 appeared to be on the road to recovery.
11 Psychiatrists treated him with sleeping meds and
12 antidepressants, but within three days he was using
13 GHB again to control anxiety attacks and
14 depression.

15 GHB is perhaps the most addictive drug
16 ever abused. Experienced drug users describe a
17 euphoria that surpasses that of any other drug.
18 Availability of off-label prescription presents
19 profound personal and public health risks. The
20 fringe physicians who now promote GHB will be
21 joined by thousands of mainstream physicians with
22 the approval of the FDA. The majority of
23 physicians are ignorant of the diverse health risks
24 of GHB, as are toxicologists and law enforcement
25 officials. Users will seek Xyrem from physicians

1 who don't recognize sodium oxybate as GHB and are
2 unfamiliar with the health risks. Patients will
3 obtain prescriptions for sleep disorders, also for
4 insomnia, depression, anxiety, treatment of alcohol
5 and drug dependence and other conditions for which
6 it has been touted.

7 We know that addicts often use GHB and its
8 analogs interchangeably. Their compound of choice
9 is dependent on access, determined by cost,
10 perceived quality, ease of procurement. Clinical
11 literature reports one user who spent \$200 per day.
12 That comes to \$70,000 per year. Our patients
13 report ingestion of up to a bottle every one to two
14 days, coming to \$11,000 to \$36,000 per year. A
15 Xyrem prescription will be a bargain for such
16 users, who will then avoid the high prices, erratic
17 availability and risks of supplement and solvent
18 purchase. We know that many people are afraid to
19 buy or make their own GHB due to risks of
20 contamination or errors of production. Xyrem, a
21 pharmaceutical product of controlled quality,
22 available by legal prescription, and with very
23 little risk if found in their possession, will be
24 very attractive. We know that users are watching
25 for the release of Xyrem. Recreational drug sites

1 post links to narcolepsy sites and publications
2 about Xyrem. One hotyellow98.com, for example,
3 instructs users "click here to find out when GHB
4 will be released under the trade name of Xyrem."

5 DR. KAWAS: Your time is up, Dr. Zvorsec.
6 Please finish. Thank you very much, Dr. Zvorsec.
7 Our next speaker is Trinka Porrata of California.

8 MS. PORRATA: I wish I had time to tell
9 you the stories of 200 dead people that I know of,
10 hundreds of rape victims and thousands of GHB
11 overdoses, and About Caleb Shortridge, to whom our
12 website www.projectghb.org is dedicated, about
13 Matthew Coda and Joshua Parks to whom our GHB
14 addiction hotline is dedicated. I wish I could
15 tell you about Ben Croman, Mike Fox, Tyler Johnson
16 and other young men from New Zealand to Sweden who
17 either have or are right now considering suicide
18 because of the withdrawal from this drug; about
19 more than 300 people I personally know about who
20 are horribly addicted to GHB, and who could each
21 name at least one dozen people more just like them.

22 I have lived and breathed GHB since June
23 of 1996 when I was first assigned to handle it for
24 the LAPD. Four young men collapsed. Two literally
25 died and were brought back to life by the

1 paramedics. One thing was clear, people were dying
2 from GHB and it was being missed. It has been a
3 heartbreaking five years, mixed with the privilege
4 of learning more and teaching others to recognize
5 the rape, overdose and deaths and getting rape
6 victims into treatment and addicts help. It has
7 been very lonely at times when the agencies who
8 should care haven't.

9 DEA has reviewed and documented 71 deaths
10 related to GHB but, basically, stopped counting
11 once the drug was controlled, for obvious reasons.
12 No one at FDA has ever expressed interest in these
13 cases. My database now includes over 200
14 GHB-related deaths. In fact, Robert McCormick, of
15 the FDA's Orphan Drug Unit, told me emphatically he
16 did not care how many people had died nor were
17 addicted to it because he intended to approve it
18 anyway. Something is wrong with this picture.
19 This is the most horrid drug I have encountered in
20 25 years as a police officer.

21 Much new has come to light during the past
22 two years, none of it good. Around the world
23 countries are just now awakening to their problems
24 with GHB. Schedule IV by WHO is simply an
25 awakening to the problem. As we speak, countries

1 are restricting it. France is backing away.
2 England is struggling with it. Sweden has an
3 unrecognized addiction and suicide problem. New
4 Zealand tried it as a prescription drug and now
5 realizes they screwed up royally. NIDA is
6 currently releasing \$2 million in research on this
7 drug. This is not a time to be pushing it forward
8 on an unsuspecting American citizenry.

9 You are here today to approve GHB,
10 disguised as sodium oxybate, for use with
11 narcolepsy/cataplexy. Orphan's investors have been
12 assured that you will do so. When the last meeting
13 was cancelled the stock dropped 30 percent in
14 frustration over it. You have not seen my
15 videotapes of the day-to-day struggle of GHB
16 addicts showing that GHB clearly gives previously
17 healthy people symptoms that can only be described
18 as temporary narcolepsy/cataplexy, just like the
19 nine-year old you saw in the tape. Their heads
20 ricochet off board room tables around this country.
21 They break mirrors. They are cut up. They crash
22 cars. They die and kill others. It is destroying
23 them. Their wives are terrified of their husbands
24 and have no idea what is happening. They are
25 locked in psychiatric wards because doctors and

1 emergency rooms do not recognize GHB psychotic
2 episodes.

3 There are no answers for them. So, how
4 can you approve this drug for use? My addicts
5 suffer alone, much as narcoleptic/cataplectic
6 patients do. Many do not have insurance or their
7 insurance will not pay for this drug that is not
8 recognized as an addictive drug.

9 I am deeply concerned about the off-label
10 use policy, enabling any doctor ultimately to
11 prescribe it for any condition as I have no faith
12 that its use will be limited to
13 narcolepsy/cataplexy. Look at the chatter around
14 Orphan about fibromyalgia, a condition with vague
15 symptoms for which a drug seeker could easily get a
16 prescription. I know the vast majority of doctors
17 do not realize that sodium oxybate, Xyrem, is GHB.
18 I see no significant talk on the legitimate
19 narcolepsy websites about it, but the message
20 boards where GHB addicts hand out are buzzing. In
21 fact, the key figures in illegal GHB Internet sales
22 were posting on the website www.xyrem.com.

23 There is very little drug diversion
24 enforcement in the United States. Only a handful
25 of agencies devote any time to this. It is a small

1 portion of DEA effort. States are not prepared.
2 They are not able to handle it. Therefore,
3 Orphan's proposed voluntary -- key word, voluntary
4 -- promises of distribution are frightening.

5 More importantly, the issue goes beyond
6 diversion of Orphan's product to use of Orphan as a
7 shield for possession of GHB in general. It would
8 be unrecognized by law enforcement. Once in
9 possession of that prescription and a bottle of
10 Xyrem, the addict will be home free. There is no
11 field test kit. All investigations of GHB are
12 difficult. Encountering a prescription, real or
13 counterfeit, and a bottle of Xyrem, real or
14 counterfeit, the officer would have zero ability to
15 identify it -- none; zero; nada.

16 To those who claim real GHB is safe and
17 only street stuff is dangerous, poppycock. My
18 addicts have used everything from European
19 pharmaceutical grade to bad stuff. The
20 unprecedented split scheduling of GHB was unwise
21 and unenforceable. We were forced to accept it.
22 It was political, not science. The people in the
23 clinical trials have reason to obey; people in the
24 streets do not.

25 If I were to convey to you but one

1 thought, it would be that not enough information is
2 known about GHB to approve it for any purpose at
3 this time, and certainly not appropriate for
4 off-label use. Any approval at this point will
5 trigger an absolute further epidemic of general
6 abuse because you will create an aura that it is
7 safe. I ask you please table this issue until the
8 NIDA research comes in. Please do not make this
9 mistake.

10 DR. KAWAS: Thank you, Ms. Porrata. Our
11 next speaker is Matt Speakman from West Virginia.
12 While Mr. Speakman is coming up, I just want to
13 remind everybody I am not trying to be mean; I am
14 not trying to be difficult, but we are trying to
15 keep the public hearing section of this meeting
16 down to under two hours and that will only happen
17 if everyone sticks to their five minutes. We would
18 like to let the committee get a chance to have some
19 more discussions for everyone. So, we greatly
20 appreciate honoring the time constraints. Mr.
21 Speakman?

22 MR. SPEAKMAN: Thanks. I just wanted to
23 say thanks. This is kind of a unique experience
24 addressing doctors. It is really cool.

25 My name is Matt Speakman and I have

1 narcolepsy. I will describe very briefly my
2 experience. I have cataploxy also. My first
3 experience was in chemistry class my junior year in
4 high school. The professor pulled out the liquid
5 nitrogen experiment and was freezing flowers and
6 flicking them, making them shatter. I got very
7 excited and he called us to the front of the room
8 and, on my way up to the front of the room, I felt
9 my legs start to buckle. This was the first time
10 anything like this had happened. I had had trouble
11 laughing a little bit because cataplexy sometimes
12 has onset with laughter and emotion, but it wasn't
13 very serious.

14 I eventually just realized that I was
15 going to fall. So, I went back to my desk and
16 collapsed on the desk with my face down in my arms,
17 kind of draped over the thing. It was humiliating.
18 I couldn't move. I was awake and aware and I could
19 still hear the class kind of looking around and
20 what-not.

21 This started to happen more regularly and
22 I started to fall asleep during class. My grades
23 started slipping. I had to stop swimming. I was
24 on the swim team. Falling asleep in the pool is
25 kind of dangerous. So, I quit doing that. Most of

1 my teachers suspected drug use and I don't blame
2 them.

3 But I managed to get into the University
4 of Kentucky and I went there for a year. I was
5 unable to meet friends and my grades weren't very
6 good because I spent most of my time in my dorm
7 room. I didn't make it to class very often; very
8 hard to wake up. It is very hard to keep
9 consistent notes when you are falling asleep all
10 the time.

11 My parents weren't happy so they found,
12 you know, I needed some other treatment. So, I
13 went to a doctor in Cincinnati who was part of the
14 study for what is now Xyrem. That was four years
15 ago, and I am taking it nightly unless I pull an
16 all-night study session or something like that. I
17 don't have any withdrawal symptoms when I don't
18 take it. I don't have any side effects when I do
19 take it. I sleep well. I have no cataplexy. I am
20 here speaking to you right now and I certainly
21 wouldn't be doing this without this treatment. I
22 used to take stimulants and antidepressants to
23 control the cataplexy, none of which worked; they
24 just had nasty side effects. It wasn't very good.

25 Two weeks ago I graduated from West

1 Virginia University with honors. I am looking for
2 a job --

3 [Laughter]

4 -- and I am thinking about going to grad
5 school. That is definitely on the bill, but I am
6 going to need some money first. So, first things
7 first. Right?

8 I understand all the concerns about the
9 illicit use and that definitely needs to be
10 addressed, but this drug is working for
11 narcoleptics and, you know, I have a girlfriend and
12 I have a life, and I live normally. A couple of
13 years ago I got a job as a full-time camp counselor
14 in Maine; drove there myself; had no problems. I
15 read the review they gave me after the summer was
16 up and it said, this guy has the energy of a small
17 power plant, which was nice to hear after suffering
18 from narcolepsy for a couple of years. So, I am
19 happy. I am working on success, and I just wanted
20 to thank you for giving me the time to speak with
21 you and I hope you can work all this thing out, but
22 my main point was that the drug is working for
23 narcoleptics and I want to thank the Narcolepsy
24 Network for paying for my travel arrangements and
25 my hotel. I am not in any way tied to Orphan

1 Medical. I don't care who makes it. I just want
2 to let you guys know it is working. Thank you.

3 DR. KAWAS: Thank you, Mr. Speakman. The
4 next speaker is Charles Cichon, president of the
5 National Association of Drug Diversion
6 Investigators.

7 MR. CICHON: Good afternoon and thank you.
8 My name is Charlie Cichon.

9 DR. KAWAS: My apologies.

10 MR. CICHON: No apology. The nuns never
11 got it in grade school; nobody has ever got it
12 right. I go everywhere from Ceechon to Chicken.

13 [Laughter]

14 I have a 16-year background in law
15 enforcement, but for the last 12 years I have
16 worked in the health regulatory field with the
17 Maryland Board of Physician Quality Assurance, the
18 state medical board licensing and regulatory agency
19 for Maryland. But I am here today as the president
20 of the National Association of Drug Diversion
21 Investigators.

22 Established in 1987, the National
23 Association of Drug Diversion Investigators, NADDI,
24 was formed in Maryland, in Annapolis by a sergeant
25 in the Ann Arundel County police department. Our

1 organization is a unique organization whose members
2 are responsible for investigating, prosecuting and
3 preventing pharmaceutical drug diversion.

4 NADDI has proven to be a valuable asset to
5 law enforcement, the pharmaceutical industry and
6 health regulatory professionals. NADDI principal
7 activities comprise cooperative education and
8 training in the specifics of pharmaceutical drug
9 diversion, investigation and prosecution; the
10 sharing of investigated information and
11 communication with a wide variety of interested
12 parties with regard to the nature, scope and impact
13 of pharmaceutical drug diversion; and the
14 development of stronger effective measures to
15 combat the problem of pharmaceutical drug
16 diversion.

17 NADDI supports the safety and efficacy of
18 the new drug application, NDA 21-196, Xyrem,
19 proposed to reduce the incidence of cataplexy and
20 to improve the symptoms of daytime sleepiness for
21 persons with narcolepsy.

22 NADDI is aware that in many reported cases
23 the use of GHB has changed from homemade GHB to
24 ingesting of industrial chemicals that convert to
25 GHB in the body. (My car got towed away yesterday;

1 I lost my other glasses. I noticed that when I was
2 sitting in the back and I couldn't read my paper.
3 So, I apologize.)

4 We are also aware that there are no known
5 cases which involved Xyrem. Rather than consider
6 the above issues as tangential, Orphan Medical has
7 gotten involved, helping to educate and uncover
8 solutions in conjunction with stakeholders such as
9 NADDI. In fact, since November of 2000, an Orphan
10 representative appeared at our national conference
11 in Columbus, Ohio, and for the last several months
12 has been involved in several states in
13 multi-regional training with over 600 NADDI
14 members.

15 Input has been sought regarding
16 distribution systems that will minimize and
17 identify potential diversion situations, allowing
18 diversion investigators to more easily perform
19 their jobs. It is the job of the pharmaceutical
20 diversion professionals to investigate potential
21 diversion, however, Orphan is willing to cooperate
22 with the appropriate local, state and federal
23 agencies. Thank you.

24 DR. KAWAS: Thank you. The next one is
25 Debbie Alumbaugh from Florida.

1 MS. ALUMBAUGH: Good afternoon. My name
2 is Debbie Alumbaugh, from Florida, and I am the
3 surviving mother of Michael Tiedemann. He was 15
4 years old when he died. That was just over two
5 years ago. The cause of Michael's death was
6 aspiration vomitus and GHB toxicity.

7 Michael was a sophomore at a high school
8 in Florida. He was a black belt in karate, and he
9 was also an instructor. He had won several
10 academic awards for reading, spelling, mathematics
11 and music.

12 On October 1, 1998, Michael came home from
13 school and asked if he could go to the show with
14 his friends. It was unusual for a school night but
15 we decided to let him go. We required Michael to
16 bring home a progress report every week from school
17 and he had brought one home and he was making A's
18 and B's in all of his subjects. Before they left,
19 one of Michael's best friends came into our home
20 and they shot into Michael's bedroom. This boy was
21 only in there for five minutes and when he left
22 Michael was passing out within ten minutes of this
23 young man leaving our home.

24 We found out 18 months after Michael died
25 that when they left our home they drove three

1 blocks and started to play a game of basketball on
2 the way to the show. Michael had the ball and was
3 going for a lay-up, and when he came down he was
4 unconscious. He lay there several minutes. His
5 friends, not knowing what to do or recognizing the
6 red flags, giggled and laughed. They scooped my
7 son up and took him on to the movies. We
8 understand Michael never saw the first five minutes
9 of the movie. He passed out again.

10 When they brought our son home, my husband
11 looked at him and he asked him, Michael, are you on
12 something? Did you take something, son? He said,
13 no, dad, nothing. Brad decided not to lecture
14 Michael this late at night; he would talk to him
15 tomorrow. Brad never got that chance. Michael
16 died that night, alone in his bed.

17 The next morning, when Brad went to wake
18 Michael for school he could hear Michael's alarm
19 blaring. Michael had full intentions of getting
20 up. When he opened our son's door he knew he was
21 dead. The first thought that ran through his mind
22 was to run, run out of the house and not look back.
23 My son was on his bed, his eyes wide open, his
24 mouth hanging open, his tongue swollen so much that
25 my husband couldn't shut his mouth. He had dry

1 vomit running down his chin into a puddle on his
2 collarbone. His hands were in a clawed position
3 where he had tried to roll himself over but
4 couldn't. GHB takes away the gag reflexes and it
5 paralyzes you.

6 We didn't know why Michael had died. None
7 of his friends would speak up. It took 12 weeks
8 for us to find out that Michael had ingested GHB
9 that evening. It was the first and only time that
10 this had happened.

11 In the last three years, in Florida alone,
12 we have lost 207 young lives to these drugs. From
13 1999 to 2000 our numbers have more than doubled in
14 Florida alone.

15 After several months after Michael died,
16 he came to his father in a dream and said, dad it
17 is wrong to destroy the body the way I have done.
18 I need you and mom to go out and tell my friends
19 and my generation of people my story, our tragedy.
20 This put a burden on our hearts and we seemed to
21 stop healing until one day Michael's father
22 gathered up enough courage and strength and he made
23 the first phone call.

24 We now go to schools all over and share
25 our story with students about GHB, and the tragedy

1 of our family. Friday, June 1 our son would have
2 been 18 and he would have graduated on that day.
3 When we went to his grave one Friday, his
4 graduating class had left white roses and the
5 mascot for the graduation cap. We missed prom; we
6 missed graduation because of this drug. Our voices
7 have to be heard. Please investigate this drug.
8 It is not safe. It is killing our children and it
9 is not the pushers that are dying; it is our good
10 kids that we are losing. Thank you.

11 DR. KAWAS: Thank you, Ms. Alumbaugh. The
12 next speaker is Brian Hunter, of the Young Adults
13 with Narcolepsy.

14 MR. HUNTER: Good afternoon. My name is
15 Brian Hunter. I am the founder of Young Adults
16 with Narcolepsy or YAWN. I am also a medical
17 student at the University of Minnesota and a person
18 with narcolepsy and cataplexy.

19 I would like to preface my comments today
20 by disclosing that Orphan Medical has provided my
21 organization with a minor grant and it provided a
22 general grant to the Narcolepsy Network who has
23 paid for my travel and accommodations to attend
24 this meeting.

25 YAWN is the first youth-focused online

1 narcolepsy support and advocacy organization. We
2 work at the grass roots level to advance public
3 awareness of narcolepsy on behalf of young adults
4 and others whose lives are affected by this often
5 debilitating sleep disorder.

6 As founder of YAWN, I believe I am in a
7 unique position to comment on the issue currently
8 under consideration by this committee. I do not,
9 and have not used Xyrem for treatment of my
10 cataplexy but as the representative of many young
11 adults in need of an effective treatment for their
12 narcolepsy, I am compelled to present my views on
13 the risk management issues pertaining to the safety
14 and efficacy of Xyrem.

15 Narcolepsy is most commonly diagnosed by
16 the middle of the third decade of life, often 5-15
17 years after the onset of symptoms, the most
18 dramatic of which is cataplexy. Excessive daytime
19 sleepiness, combined with the impact of sudden
20 attacks of cataplexy that may last from a few
21 seconds to hours can be profoundly damaging to the
22 interpersonal, educational and professional
23 development of these young adults at an extremely
24 critical point in their development. Although I am
25 fortunate only to experience rare and mild attacks

1 of cataplexy, I know others who are completely
2 incapacitated by cataplexy and have not, or would
3 not been able to achieve their personal
4 professional goals without a medication like Xyrem.

5 I submit that the risk for experiencing
6 the negative impact of untreated cataplexy on the
7 potential of so many young adults with narcolepsy
8 is a serious issue that must be included in any
9 discussion of risk management of Xyrem.

10 Xyrem offers a singularly important
11 therapy for the 65-70 percent of young adults with
12 narcolepsy who suffer with cataplexy. We must
13 recognize the consequences of failing to approve
14 Xyrem to treat the 1/1000 people suffering with
15 narcolepsy. For example, after forming YAWN, I was
16 contacted by the parents of a 16-year old boy,
17 living in a small town not three hours away from
18 the nearest city. This young man was bright. He
19 did well in school, and was active in his community
20 until his 12th birthday when he began experiencing
21 severe episodes of cataplexy that lasted for hours.

22 When I first spoke to him on the phone he
23 told me that his condition was so severe that he
24 was forced to spend five days a week in a nursing
25 home, and he is still there. What are the costs of

1 providing nursing home care in a public institution
2 for a 16-year old boy for the next 60 to 70 years?
3 By not adequately controlling his cataplexy, what
4 are his chances for becoming a contributing member
5 of our society? Unfortunately, this man's story is
6 all too common. Unless something is done about the
7 current environment of limited access to inadequate
8 pharmaceutical therapies, the future of young
9 adults suffering with cataplexy will remain bleak.

10 This, however, does not have to be the
11 case. In fact, a brighter future has been achieved
12 by the lucky few who have participated in Xyrem
13 clinical trials. They have become success stories.
14 To these young adults with narcolepsy Xyrem has
15 meant the difference between a life within an
16 institution and having the opportunity to achieve
17 their goals, free from the physical constraints of
18 their disease. Xyrem has enabled many young
19 adults, my friends, to earn their Ph.D.'s or become
20 lawyers, doctors or to simply be good parents.

21 These are people who took Xyrem and
22 couldn't have succeeded otherwise. Yet, there
23 continue to remain thousands of other talented and
24 capable young adults who have not yet had a chance
25 to fulfill their dreams. They are the reason I

1 formed YAWN and why I am here testifying before you
2 today. We can no longer afford to neglect the
3 potential of so many young adults by failing to
4 provide them with the only medication known to be
5 safe and effective. It is our responsibility to
6 protect their right to pursue a happy and
7 productive life by having access to medications
8 like Xyrem that will effectively treat their
9 disease.

10 Thank you for allowing me to present these
11 remarks to you today. I urge you to approve the
12 NDA for Xyrem. There really are lives at stake.

13 DR. KAWAS: Thank you, Mr. Hunter. The
14 next one is Joe Spillane.

15 DR. SPILLANE: I would like to also say
16 thank you for an opportunity to speak to the FDA
17 and to this committee on this important issue.

18 I work at Broward General Medical Center
19 which is a community hospital in south Florida. My
20 experience with GHB is as a pharmacist and in
21 clinical toxicology. I also teach as an associate
22 professor at the College of Pharmacy at NOVA
23 Southeastern University.

24 Our experience in the emergency department
25 is very similar to what Dr. Dyer mentioned. We

1 have a lot of GHB overdoses. We had 48 overdoses
2 associated with GHB in 1999. That number increased
3 by 60 percent to 77 in 2000. We have more GHB
4 overdoses than ecstasy. We have more GHB overdoses
5 than oxicondon. I think it is important that I
6 just underscore the immensity of the problem
7 associated with GHB abuse. Most of our overdoses
8 come in with people who have altered mental status
9 and, basically, they just need a short period of
10 supportive care, airway management. Most wake up.
11 Many of them -- and I think this is important to
12 point out, many of them mention that somebody had
13 given them GHB, put it into their drinks, and so
14 forth. As such, the media and many people have
15 advised don't accept a drink from anybody but the
16 bartender. We had a bartender up in our ICU about
17 a month ago, and when he did recover I spoke with
18 him and he said, yes, I chronically use GHB. A lot
19 of my friends in the beverage industry also do.
20 And, I think we can understand what the potential
21 problems could be with that.

22 We have also treated five withdrawal cases
23 and, again, the numbers might not be that big but
24 this is just one hospital and, since it is a
25 difficult thing to identify, we are probably

1 missing cases and I am sure there are cases missed
2 throughout the country.

3 There have been nine deaths where, in the
4 estimation of the medical examiner in Broward
5 County, a county of 1.6 million people -- nine
6 deaths were caused by GHB and I think it is
7 important to point out that at least one of those
8 deaths was with GHB alone, with no co-intoxicants
9 and no alcohol level.

10 I guess my major concerns are with the
11 scheduling and some of the off-label prescribing
12 issues, and the voluntary nature of this
13 distribution system. I kind of just want to
14 summarize briefly by saying I think there are four
15 questions that are major concerns of mine and I
16 hope this committee addresses those concerns.

17 The first one is, is it really wise to
18 rely upon an essentially voluntary, supposedly
19 closed-loop distribution system, designed by the
20 manufacturer, to prevent diversion of an
21 increasingly popular, highly lethal, addictive and
22 abused substance?

23 My second question is, is it prudent to
24 require very little governmental regulatory
25 oversight of such a system when the strict

1 adherence to that system may not be in the best
2 financial interest of the entity responsible for
3 that strict adherence?

4 My third question is, is it responsible to
5 rely solely on those with a vested interest in
6 demonstrating little or no diversion to verify that
7 little or no diversion is occurring? It is my
8 understanding that that is essentially what we may
9 be doing here. I think there was an example of how
10 this could be problematic just in today's
11 proceedings. I certainly was under the impression
12 by several people who spoke today that there was no
13 diversion in the clinical trials. I think Dr.
14 Mani, from the FDA, said that, indeed, there were
15 some cases of diversion. So, I just think that is
16 a potential concern.

17 My fourth question is does it demonstrate
18 judicious foresight to establish a precedent for
19 sort of circumventing existing scheduling and
20 distribution processes, and couldn't such a
21 precedent be used in the future to the financial
22 benefit of pharmaceutical manufacturers and to the
23 detriment of drug diversion prevention?

24 I would like to commend Orphan for their
25 work and bringing a medication that they feel is

1 effective to those who could benefit from it. I
2 think a mandatory, not voluntary, system of
3 distribution, with adequate governmental regulatory
4 controls and any restrictions on off-label
5 prescribing would advance another one of their
6 stated goals, which is reducing abuse and
7 diversion. Thank you very much for having me.

8 DR. KAWAS: Thank you, Mr. Spillane. The
9 next one is Ms. Mali Einen.

10 MS. EINEN: Hello, and thank you for the
11 opportunity to speak before you today. I could
12 tell you my story of my scars and bumps and bruises
13 from my many falls from cataplexy, or I could tell
14 you about my disappointment from having had to give
15 up my career that I was dedicated to and loved, not
16 to mention the loss of income and security.
17 Instead, the part of my story I share with you
18 today is the loss of the normal, everyday things
19 that most parents take for granted.

20 My name is Mali Einen. I am a single
21 mother from California with narcolepsy and what is
22 considered severe cataplexy -- and a lot of
23 nervousness. As a person with narcolepsy, I was
24 fortunate to be diagnosed fairly quickly after the
25 onset of my symptoms. I was diagnosed at the age

1 of 22 after first noticeable systems of narcolepsy,
2 appearing at about age 22.

3 In the early years my cataplexy was
4 triggered mostly by strong emotions -- a truly
5 funny joke or my young daughter saying something
6 adorable. As the years progressed, my cataplexy
7 worsened, requiring less and less of an emotional
8 trigger to cause a complete collapse -- unable to
9 move or talk for seconds, sometimes even minutes at
10 a time despite my daily medications.

11 As my daughter grew and my cataplexy
12 worsened, I was unable to attend her performances,
13 school programs or sports activities without
14 several full collapses. My young, then seven or
15 eight year old daughter would complain, why do you
16 bother to come? You spend most of your time passed
17 out. That is what she called cataplexy. I
18 wondered would she ever understand that it was my
19 joy for her success and my love for her that
20 prevented me from participating in these
21 milestones.

22 Several years later my daughter's simply
23 relaying a story to me, excitedly, about her latest
24 crush or her experiences with her friends would
25 cause me to crumble, much like the film that Dr.

1 Mignot showed earlier today. It dawned on me that
2 I had not only given up my experiencing anything
3 that might involve positive emotion, it had become
4 difficult for me to even participate as a spectator
5 in my daughter's life.

6 During the years, I had been able to
7 maintain success in my developing career as a money
8 manager. My workaholic, nose to the grindstone
9 withdraw kept me away from the usual office fun and
10 water cooler moments, while allowing me to avoid
11 embarrassing cataplexy. But this too had begun to
12 erode. Although the various medications allowed me
13 to keep my cataplexy partially in check, it seemed
14 that my nighttime sleep became more and more
15 disrupted, sleepy during the day, yet never able to
16 sleep more than an hour or two at a time at night.

17 By 1996, my spotty nights of a few hours
18 of sleep, my sneaking naps during the work day, and
19 collapsing in exhaustion any time I sat still had
20 affected my ability to continue to perform my job
21 adequately. Long ago my daughter had given up on
22 my being able to read her a story or to help her
23 with her homework. My life had become dragging
24 myself to and from work, attending to the basic
25 needs of my daughter, while constantly working to

1 keep my emotions in check. There was little room
2 for fun and interaction. Sole provider for my
3 daughter and myself, I finally voluntarily left my
4 job.

5 By this time I had become a complete slave
6 to my next dose of medication to either control my
7 cataplexy or to help keep me awake. The
8 medications didn't make me feel well; they made me
9 feel horrible, yet, I was their slave. I had never
10 taken a back seat to finding better or best
11 treatment options. I tried no less than five to
12 seven different antidepressants over the years with
13 varying degrees of success, but each with such a
14 cost.

15 Within a year after I had left work, I
16 became aware of a new medical study through
17 Stanford, an experimental treatment for narcolepsy
18 and cataplexy. I started Xyrem. My life changed!
19 After a horrific washout period when, unmedicated,
20 I was faced with my inability to care for myself,
21 let alone my daughter, with mere thought causing
22 collapse after collapse, I found that Xyrem
23 controlled most of my cataplexy and I was thrilled
24 how the better quality nighttime sleep allowed me
25 to feel normal, almost good upon waking.

1 Although not required by the medical
2 study, I began to voluntarily decrease my daily
3 doses of amphetamines. The better, less disrupted
4 nighttime sleep allowed me not to be a slave to my
5 next dose of stimulants in order to make it through
6 the next several hours. I now go many days without
7 stimulants at all, and other days take 5 mg or less
8 of Dexedrine.

9 I not only began to be able to listen to
10 my daughter's glee-filled stories of her day, I
11 started to volunteer at her school. I could joke
12 with the kids; I could even watch Kelsey smash a
13 winning serve across the volley ball court. I must
14 admit, occasionally a funny story or my evening
15 interaction with my daughter still causes my facial
16 muscles to slacken with a bob of the head, but my
17 daughter now uses these opportunities to give me a
18 hard time, knowing that I will recover in a second
19 or two and we will have fun and enjoy our life
20 together.

21 I asked my now 17-year old, upon
22 contemplating being here today, would you say my
23 taking Xyrem has made a difference in your life? I
24 had expected the usual teenage disinterested reply.
25 Instead, Kelsey responded, as tears welled in her

1 eyes, as much as I hate it sometimes, you are
2 really a part of my life now; you know everything
3 that's going on with me.

4 It is for this that I am truly grateful to
5 Orphan Medical and Xyrem -- and I think I forgot to
6 say my conflicts of interest.

7 DR. KAWAS: That is the only reason we are
8 going to let you go more over time.

9 MS. EINEN: I am a shareholder of Orphan
10 Medical and a number of other stocks of products
11 that I believe in. Narcolepsy Network has
12 generously paid for my air fare and accommodations,
13 but they have not compensated me for my time, nor
14 am I paid for the time away from my brand-new job
15 back in the career which I had to leave five years
16 ago.

17 DR. KAWAS: Thank you, Ms. Einen. Next is
18 Ms. Sandra Jones from California.

19 MS. JONES: Good afternoon, ladies and
20 gentlemen. My name is Sandra Jones, and I am from
21 Los Angeles, California. My travel expenses are
22 being reimbursed by the Narcolepsy Network. I am
23 50 years old. It was only 19 years ago that my
24 mother truly became a mother to me, my brother and
25 sister. Nineteen years ago my mother began taking

1 what we now call Xyrem. Within a week after she
2 started taking this medicine we noticed the
3 incredible change in her. She could cook dinner
4 without collapsing to the floor. She could sit
5 down and eat dinner with us without falling asleep.
6 She could make a sound that we hadn't heard in a
7 very, very long time -- laughter, and more laughter
8 without falling to the floor.

9 She became a totally new person to our
10 family. That was not the case nearly thirty years
11 ago. She quit her career as a nurse for fear of
12 how the disease might affect her care of her
13 patients. She became sort of a recluse in her home
14 and we grew used to seeing her sleeping throughout
15 the day and staying up all night. She was afraid
16 she would fall and bring embarrassment to herself
17 and especially to her family. People just did not
18 understand her disease. She once collapsed at a
19 party and people dismissed her as being a drunk.
20 My mother didn't drink. It was what the narcolepsy
21 had done to her.

22 This is an evil, evil disease and unless
23 you have witnessed it firsthand you cannot
24 understand the horrible ways it affects a person's
25 live. Imagine having a newborn child, my sister,

1 and not being able to hold her for fear of dropping
2 her. Imagine not being able to go to the grocery
3 store for fear of falling in the aisle. Imagine
4 not being able to read stories to her children
5 because she would fall asleep, not us. Imagine not
6 being able to drive a car for fear of collapsing
7 behind the wheel. This was my mother.

8 But Xyrem changed all that. It was a
9 difference between night and day and mother quickly
10 rediscovered the joys that she had missed for
11 decades -- playing games with us, going dancing,
12 going to the movies, celebrating family birthdays
13 and holidays. The day-to-day tasks that you and I
14 take for granted, she could finally do as a normal
15 person. This was the mother that we had never
16 known until Xyrem gave us her life back and her
17 family back. I have seen the difference. I have
18 lived the difference. Please make this valuable
19 medication available to people who have narcolepsy.
20 They and their children will see the change in
21 their lives. Thank you.

22 DR. KAWAS: Thank you, Ms. Jones. That
23 concludes the section of open public hearing, and I
24 want to thank everybody who expressed their views,
25 information and helped the committee keep sight of

1 all the issues here.

2 We will now reopen the questions from the
3 committee to the invited speakers, sponsor and the
4 FDA. In particular, I would like to focus on the
5 presentations that we had right before lunch
6 involving the epidemiology, adverse medical events
7 and the sponsor presentations on risk management
8 and abuse liability. So, who wants to start the
9 questions from the committee with regard to some of
10 those presentations?

11 Continued Committee Discussion and Deliberations

12 DR. SIMPSON: I put up my hand under false
13 pretenses because I had just one question really --

14 DR. KAWAS: We don't like false pretenses
15 around here!

16 DR. SIMPSON: It was really relating to
17 the efficacy. I mean, a lot of the presentations
18 we have just heard give the impression that the
19 cataplexy was, if not completely controlled, almost
20 completely. Yet, when we look at the data we see
21 that the median number of events at the end of some
22 of the studies is about eight or so on drug. So,
23 do we have any data about how many people actually
24 had no cataplectic events?

25 DR. REARDAN: I think that this question

1 was discussed to some extent this morning. It
2 dealt with complete cataplexy --

3 DR. SIMPSON: No, no, I am saying do we
4 have data on the people who were, quote, cured?
5 Were there any?

6 DR. REARDAN: We have a slide on that, I
7 understand.

8 [Slide]

9 DR. HOUGHTON: This is an example of the
10 long-term data, and one of the problems with the
11 controlled GHB-2 trial is that it may be too short.
12 The reason that the time was restricted is because
13 of the imposition of patients on placebo for longer
14 periods of time. But that represents a picture of
15 the long term response in terms of percentage
16 change. So, we have a control across all doses,
17 demonstrated here for a 12-month period, around the
18 90 percent or better mark. Now, that doesn't mean
19 to say people don't have any cataplexy, but it is
20 certainly very significantly reduced.

21 DR. KAWAS: Dr. Katz?

22 DR. KATZ: Yes, we have seen this slide a
23 number of times. I just want to remind the
24 committee that this is open, uncontrolled,
25 non-randomized data, not the sort of data that we

1 would ordinarily rely on to draw any sort of
2 conclusion about effectiveness of any sort.

3 DR. KAWAS: Maybe the sponsor could show
4 us some of this data from one of the randomized
5 trials?

6 DR. HOUGHTON: We could show you the
7 change in the GHB-2 study again, which is the
8 four-week study.

9 [Slide]

10 The data is median change from baseline.
11 We had a median incidence of 23.5 in the 9 g group,
12 a change from baseline of 16.1. If we present that
13 again as percentage change -- because, once again,
14 it is complicated by the spread in the data.

15 DR. SIMPSON: I guess my question is if
16 the median at the endpoint is 8.7, it means 50
17 percent of the people were above it and 50 percent
18 were below. Now, how many were below, say, 1 or 2?

19 DR. HOUGHTON: Well, it depends on what
20 their starting level was, and the conditions of
21 entry were 3 cataplexy or more attacks per week.
22 We did have patients with very high incidence. So,
23 in terms of absolute numbers, that is a very
24 difficult response. I am not trying to be evasive.

25 DR. WOLINSKY: The other piece of that

1 data though that you presented and might be worth
2 looking at quickly is the randomized stop component
3 of the trial.

4 DR. HOUGHTON: Sorry?

5 DR. WOLINSKY: When patients were
6 randomized to be taken off --

7 DR. KAWAS: The 21 study.

8 DR. REARDAN: Right. The question is on
9 a-patient-by-patient basis, how many patients went
10 from X amount of cataplexy to zero cataplexy. Is
11 that what you are trying to get at?

12 DR. SIMPSON: Zero or close to zero.

13 DR. REARDAN: That is in the data listings
14 for the trial. We didn't bring individual breakout
15 of the data. We brought summary information for
16 the committee. I don't know if Dr. Mani has a
17 recollection or Dr. Katz.

18 DR. KATZ: You don't have a distribution
19 of how many events patients had? In other words,
20 you know, X percent had two or fewer events; Y
21 percent had between two and five events.

22 DR. HOUGHTON: No, we didn't break it down
23 like that. I think the slide that you were
24 referring to was the one that I showed with
25 individual patient plots, and I can show you that

1 quickly.

2 [Slide]

3 That is just an example of absolute
4 numbers. These were individual patients plotted.
5 That was their incidence at the baseline, and that
6 was some two years after this was conducted. That
7 is the sort of response they got when their active
8 treatment was withdrawn. That is the group in
9 active treatment. So, in terms of just absolute
10 numbers, that is just a snapshot. That is not a
11 statistical presentation. It happens to be every
12 patient that came from that original trial through
13 into this trial, and I show it as individual plots.
14 It is the best impression of individual patient
15 data I can give you to answer your question.

16 DR. BLACK: Just a comment on that. In
17 this section we do have placebo-controlled data and
18 we have the number of cataplexy attacks on placebo
19 versus active medications after patients have been
20 on treatment for a long period. Dr. Katz' comment
21 is very good. The data that has been generated
22 over the open label, though it does suggest there
23 is a time course till optimal effect of at least
24 two months, is open label. But this is
25 placebo-controlled data, suggesting that the

1 average there of cataplexy attacks per day -- I
2 don't know if you have the numbers of that, Dr.
3 Houghton, but it is very low during the time of
4 treatment unless they are taken off and then on the
5 placebo-controlled portion.

6 DR. KAWAS: I have a question for the
7 company as well as probably Dr. Dyer. I want to
8 hear both sides of why we heard such very different
9 descriptions of the potential for withdrawal
10 syndromes with this disorder. I recognize fully
11 that the company has studied individuals with
12 narcolepsy and it is possible that alone could
13 comprise the difference, but we do have a very nice
14 withdrawal study in study 21, which is not
15 typically the case, and the findings that were
16 collected from that are in fairly sharp contrast to
17 the stories that we have heard from Dr. Dyer with
18 regard to withdrawal syndromes, and I wondered if
19 both sides could tell me what the difference was.
20 Is it dose? What is the difference here?

21 DR. REARDAN: I will ask Dr. Balster, but
22 I believe it is dose and frequency. Bob, do you
23 want to comment?

24 DR. DYER: I doubt that we disagree.
25 Clearly, in my set of patients and what we use

1 nearly as a diagnostic parameter and which patients
2 we should admit, even though their early symptoms
3 are mild, is the frequency with which they are
4 using it. So, the kinetics of the drug show us a
5 duration of activity around three or four hours.
6 When these patients increase their frequency so
7 that their body constantly is exposed to GHB, those
8 are the ones that we feel become severely
9 physically dependent and then go through this
10 withdrawal syndrome that can have an onset within
11 hours of discontinuing the drug.

12 DR. KAWAS: So, in your opinion it is
13 frequency of dosing, not even the number of grams
14 per day.

15 DR. DYER: As far as I can tell, it is
16 frequency because if I take the sponsor's
17 information, and for years I have spoken to the
18 investigators that are doing this and they have
19 said they have had no trouble. Their patients have
20 a 12-hour drug holiday daily, which is two to maybe
21 three times what they are calling a half-life for
22 this drug. So, the drug is completely eliminated
23 from the body for a time period, and the patients
24 have that become severely addicted, all of them.
25 I mean, that is kind of diagnostic for the severe

1 withdrawal, somebody who is taking it every three
2 hours around the clock.

3 DR. BALSTER: Yes, I agree completely with
4 that, and maybe the analogy that would help you
5 understand it would be the analogy, for example,
6 with alcohol where really alcohol can produce a
7 very significant physical dependence but you can
8 drink it every evening with your meal and you won't
9 become dependent because between that evening use
10 and the next day it has cleared the body. So,
11 whatever physiological adjustments are necessary
12 have corrected themselves. So, we are in complete
13 agreement.

14 DR. KAWAS: Thank you. Dr. Katz?

15 DR. KATZ: Just as an extension of that,
16 there was also the implication or the explicit
17 statement that in some of those people who took it
18 very frequently and ultimately, presumably, became
19 addicted, they were compelled to take it more
20 frequently. In other words, there was a tolerance
21 that developed and they had to increase their
22 frequency to get the same sort of pharmacologic
23 effect.

24 So, I will just ask the same question that
25 Dr. Kawas asked about withdrawal. We have heard

1 from the company that patients who have taken the
2 drug for years and years and years don't develop
3 tolerance; they don't have to increase their dose;
4 they don't increase the frequency of
5 self-administration. But, we are hearing that on
6 the outside there are people in whom this
7 phenomenon apparently does occur. So, I will ask
8 the same question. Why the disparity?

9 DR. DYER: Again, there haven't been
10 really good studies or anything scientific. It is
11 kind of my thoughts or opinions but, again, it is
12 accommodation because you are taking it around the
13 clock. So you are accommodating. Also, in the
14 patients that are taking it -- well, I don't know,
15 they are not really patients -- in the people who
16 are abusing it there is a lot of the feeling that
17 if a little is good, a lot is better. They are
18 taking it initially, these body builders, for this
19 growth hormone burst. So, they really feel like
20 they are doing the right thing. So, there is
21 nothing to have them diminish their dose or hold
22 their dose as it is. Then, once they start taking
23 it more frequently, the duration of the drug as it
24 wears off in three or four hours, we think, gives
25 them kind of a dopamine surge for which then they

1 are going to feel a little depleted and want to
2 take that next dose. Then there is also physical
3 craving for that kind of high. They are awake and
4 feeling that kind of high as opposed to the
5 patients that are taking it immediately upon going
6 to bed and then sleeping through this euphoric --
7 whatever the kids are trying to get that are
8 abusing it -- if you can roll that into an answer.

9 DR. BALSTER: That is exactly the way I
10 would see it too. Just to add one further thing to
11 that, the way to look at tolerance, you have to
12 understand that it occurs through different effects
13 at different rates and in different ways. So, the
14 therapeutic effect is one effect. The intoxicating
15 effect is a different effect. And, commonly in
16 abuse situations where persons are trying to
17 maintain an intoxication, they have to escalate
18 dose and frequency in order to do that, whereas the
19 data obtained in these clinical trials, of course,
20 is on the therapeutic effect.

21 DR. DYER: One other comment, in the
22 alcohol abuse trials they did escalate their dose
23 in more of a craving kind of manner. That was
24 about 15 percent.

25 DR. KAWAS: Dr. Roman?

1 DR. ENGEL: I would like to add something,
2 if I may, to this point that is based on the risk
3 management system proposed by the sponsor. As you
4 saw, the data collected by the specialty pharmacy
5 will include dose by patient. And, because of
6 that, the specialty pharmacy will be able to
7 predict when is the appropriate timing for a given
8 patient to have their prescription refilled. So,
9 for example, there are patients attempting to
10 refill too soon, so to speak, that will be
11 identified and it will be an opportunity for the
12 pharmacist to interact with the physician very
13 quickly, before a patient might get into a
14 situation like which Dr. Dyer is describing with an
15 overuse syndrome.

16 DR. ROMAN: A question perhaps again for
17 Dr. Balster. Is the pharmacology of GBL and 1,4-BD
18 similar in animal experience to GHB? Number two,
19 if there is a difference, did I understand
20 correctly that GBL and 1,4-BD are not currently
21 drugs of abuse?

22 DR. BALSTER: Well, the first question,
23 pharmacological comparisons of GBL, GHB and 1,4-BD,
24 these haven't been very extensively done. So,
25 hopefully some of those NIDA grants that someone

1 was talking about will really take that question
2 on. But let me say that in a number of those
3 studies that were done to describe the pharmacology
4 of GHB, in some of these studies actually GBL was
5 administered to the animal with the view that it
6 was a prodrug for GHB. I forgot who said it but
7 someone said that so far as we know, all of the
8 effects of GBL and 1,4-BD are really as a
9 consequence of their conversion to GHB. I believe
10 that would be the current state of knowledge about
11 that although it is imperfect.

12 Now, the question about control, in a
13 sense, yes, all of these drugs are potential drugs
14 of abuse because they can be taken and basically
15 are active in the case of precursors with
16 metabolites. So, yes, all of these are potentially
17 drugs of abuse. Only one of them is a controlled
18 substance and one of them, by congressional action
19 of last year, became what is called a listed drug,
20 and I could explain that to you or, actually, Dr.
21 Sannerud would know better than I what exactly that
22 means. But it essentially means that there is
23 limited distribution.

24 DR. ROMAN: So, with GBL and 1,4-BD there
25 is no control.

1 DR. BALSTER: Well, as I say, for 1,4-BD,
2 to my knowledge, there is no control. I need to
3 step back a little bit from that because we could
4 get into too long of a discussion about what
5 constitutes an analog under the specific language
6 of the legislation. So, it is possible for
7 prosecuting attorneys to claim that one or another
8 of these drugs are analogs of a controlled
9 substance. The Controlled Substances Act, in a
10 sense, regulates analogs. Now, 1,4-butanediol is
11 questionably an analog, but that would be something
12 that would be worked out in court. So, I am not
13 trying to tell you that someone could absolutely,
14 with impunity, sell 1,4-BD to children and say that
15 it wasn't a drug of abuse because I am sure that
16 there would be authorities and prosecutors who
17 would try to do something about that. But in terms
18 of the actual language of regulation, only GHB is a
19 controlled substance.

20 DR. SANNERUD: GHB is a Schedule I
21 controlled substance. Butanediol and GBL are
22 considered controlled substance analogs under
23 federal law, which means they can be prosecuted, as
24 GHB, with penalties and other things would apply if
25 someone is caught trafficking, distributing or

1 clandestinely manufacturing or selling these
2 compounds as well. GBL is listed as a List I
3 chemical, which means that there is record-keeping
4 and registration required. There are no retail
5 sales of butanediol, and there is a graph in here
6 with the product. These are used in industrial
7 uses. So, this comparison is really a little bit
8 misleading. I don't know the numbers but GHB is
9 not even marketed yet, so this number on production
10 is only for clinical trials I assume.

11 As far as the GHB and Xyrem they are both
12 GHB. There is no forensic analysis that is going
13 to differentiate between the two. So, when samples
14 are submitted to labs there is no way to tell if it
15 is the product or if it is something that is made
16 at home. So, for someone to say that there has
17 never been any diversion of the product, there is
18 no way to tell that because there is no way to
19 differentiate between the two under forensic
20 laboratory conditions.

21 Another question I wanted to address is
22 the quota issue. Ms. Meyers brought up quotas for
23 Schedule II compounds, the stimulants. DEA sets
24 the quota, as it will with GHB as well. It has
25 never been the case that drug has run out at the

1 end of the year because the quotas are set too low.
2 If there is a problem with the drug manufacture the
3 quotas can always be increased throughout the year,
4 and they are done so on a regular basis. So, there
5 has never been the case where a drug has run out.

6 DR. KAWAS: Dr. Mani?

7 DR. MANI: I would just like to touch upon
8 the issue of drug diversion during the clinical
9 trials once again briefly. Many speakers have
10 asserted that there has been no evidence that Xyrem
11 or GHB used in the clinical trials included in the
12 database was diverted. That may very well be true,
13 barring the one exception that I cited earlier, and
14 I have no firm evidence to the contrary. However,
15 I have gone through the NDA, reviewed the whole
16 NDA, and I would be a little more hesitant in
17 making that assertion, and I will tell you why, and
18 that has to do with the way the drug was dispensed
19 in the Scharf study which, as you know, occupied
20 about 30 percent of the database in terms of
21 patient numbers and about 70 percent of the
22 database when you are talking about patient years
23 of exposure.

24 What happened here was that patients saw
25 Dr. Scharf in Cincinnati, at least for an initial

1 visit, and had an appropriate diagnosis made and
2 were then enrolled in the trial and then went back
3 to whatever part of the country they came from.
4 Prescriptions for medication were filled based on
5 their returning completed diaries. In some
6 instances it appears, at least from my looking at
7 the case report forms, that prescriptions were
8 sometimes filled in advance or the diaries being
9 returned, obviously to prevent the patient from
10 running out of the drug. But the important thing
11 is that patients were not required to return unused
12 supplies of medication prior to getting a fresh
13 prescription, or to provide any formal accounting
14 of how much medication they used or did not use.
15 In the absence of any active surveillance of that
16 kind, as I said, I would be quite hesitant in
17 making the assertion that no medication was
18 diverted.

19 DR. REARDAN: I need to make a qualifying
20 statement here. We do not disagree with Dr. Mani.
21 However, under the company's clinical IND, our
22 patients under IND didn't begin entering trials
23 until 1996. Patients were required to document
24 their dose; to return their bottles. The bottles
25 were all qualified by volume in terms of what was

1 returned. The incident that Dr. Mani refers to, I
2 believe, occurred in 1986, when GH3 was available
3 as a nutritional supplement and Dr. Scharf's trial,
4 again, was clinical practice. There were a lot of
5 issues on GCP compliance in that trial. We do not
6 take responsibility for accountability of drug
7 under Dr. Scharf's trial. So, I will just qualify
8 that. Okay?

9 DR. MANI: I agree.

10 DR. FALKOWSKI: I have a question and it
11 has to do with the fact that we are talking about a
12 method of taking this drug where you take half the
13 amount at bedtime and then you wake up several
14 hours later, but don't really wake up, and take the
15 rest of it. And, I am just wondering what would
16 happen if you were confused. It also involves
17 mixing it ahead of time to the right strength. I
18 am asking this both to Dr. Dyer and the sponsor,
19 what would happen if someone took 9 mg at once?
20 You know, if someone got confused and took it all
21 at once, what would be the expected outcome?

22 DR. REARDAN: I had a number of questions
23 about this at the break from a couple of members of
24 the committee -- how do they make it up, and so on.
25 It might be worthwhile to ask Patti Engel to go

1 through that. The other point about narcoleptic
2 patients waking up, maybe Dr. Black, you could
3 comment on how they wake up and take their second
4 dose.

5 DR. FALKOWSKI: Right, but my bottom line
6 question is what would happen to a person who
7 inadvertently took all of their dose at once, and I
8 really insist on an answer to that. Thank you.

9 DR. BLACK: That question has been
10 answered by patients who have taken inadvertently
11 larger doses. As far as the waking up at night,
12 the patients that are here could probably respond
13 to that, but the overwhelming majority are awake
14 actually before the four hours later on their own
15 and they are fully awake. The medication is
16 premixed so there is no mixing that needs to occur
17 at that point. There are folks who have taken
18 extra doses and there is more sedation that occurs
19 with the extra duration and the period of sleep is
20 longer with the higher dose.

21 DR. FALKOWSKI: Is the answer then
22 increased sedation? Is that the answer to my
23 direct question?

24 DR. BLACK: Yes, if the dose is increased
25 there is increasing sedation and a longer sleep

1 period.

2 DR. FALKOWSKI: Okay. Dr. Dyer, could you
3 respond to that?

4 DR. DYER: It is my opinion that the dose
5 would be around 100 mg/k and at that point you are
6 going to have coma and some of the other side
7 effects that we see in our club goers are very
8 likely to be what you would see. So, vomiting and
9 aspiration is a possibility. You know, the ability
10 to hear and react to fire alarms, children,
11 whatever, that is all going to be blunted.

12 DR. FALKOWSKI: Is it a possibility then
13 that some of these people who may have double dosed
14 would be in a coma but who would know, you know?
15 Is that a possibility, sponsor? I mean, who is to
16 know?

17 DR. BLACK: I think that the question is a
18 good one, and what I might call deep sleep someone
19 else might call a coma. But when we look at the
20 brain wave activity of the folks with the higher
21 doses, they have nothing in the EEG that would be
22 consistent with straightforward coma.

23 DR. FALKOWSKI: But you didn't take EEGs
24 on these people when they were sleeping in
25 situations like this.

1 DR. BLACK: Well, we have done EEGs on the
2 folks when they have been sleeping at the 9 g dose
3 but not on double the 9 g dose.

4 DR. FALKOWSKI: Okay.

5 DR. KAWAS: Dr. Katz, please.

6 DR. KATZ: Yes, a couple of things. Maybe
7 the best way to get at this if it is possible is to
8 ask the company to show us any data that they have
9 about what happened to patients who took, let's
10 say, a single 9 g dose. I don't know how many
11 patients did that, but if there is data on that it
12 would be nice to see.

13 So, I don't know, maybe you could look for
14 that while I get to the second part which is,
15 again, just another variant about the question we
16 were talking about before, this perceived disparity
17 between patients and non-patients who take the drug
18 recreationally. We have heard again, not just in
19 terms of withdrawal and addiction and tolerance but
20 just in terms of serious adverse events, a number
21 of the serious adverse events that we have heard
22 about in the emergency room situation seem to have
23 occurred at doses, presumably -- I don't know how
24 reliable the dose information is in that setting, I
25 am not sure, but presumably at doses that patients

1 routinely get and which they tolerate extremely
2 well. So, I will ask the same disparity question
3 again there.

4 DR. MIGNOT: I think you have to realize
5 also that you are talking about narcoleptic
6 patients who also experience daytime episodes of
7 overwhelming sleepiness that sometimes lead to
8 confusion, and there are a lot of horror stories
9 about narcoleptic patients, independently of GHB,
10 at any moment of their life where they can
11 sometimes be in a risky situation just because they
12 have what we call automatic behavior, this
13 overwhelming sleep attack where they really don't
14 know what they are doing, where they may be driving
15 or doing something dangerous. I think that is also
16 important to keep in mind. The danger of taking
17 two doses at a time, if it is relatively well
18 dispensed, for narcolepsy patients I think needs to
19 be put in perspective for their other symptoms.

20 DR. REARDAN: I am only aware of one case
21 in our database. It was a patient who
22 inadvertently took 18 g and I think, Dr. Mani, you
23 are well aware of that. He did fall on his head.
24 So, it is confusing as to whether it was a result
25 of his 18 g dose -- you know, that was the best

1 estimate we had -- or in the fall he hit his head,
2 but he did end up being taken to the emergency
3 department and did need supportive care. Oh, Bill
4 is saying that was a normal dose. I am sorry, let
5 me get him to clarify.

6 DR. HOUGHTON: Yes, I am sorry. That is
7 one of the cases that we know very little about.
8 It was a patient who was in the kitchen. There was
9 a loud bang. His wife heard the noise and came in,
10 and her husband was on the floor. So, we got no
11 dose relationship to that event. We know nothing
12 as to whether it is related to Xyrem.

13 The 18 g overdose was the patient who was
14 supposedly sleepwalking, in the Scharf database,
15 who supposedly then took 18 g on top of his normal
16 dose and was taken to hospital and ended up on a
17 ventilator.

18 Really, the best prevention we have of 9 g
19 being taken together is the fact that the dose has
20 to be made up into separate doses. The
21 instructions to the patient are very clear. They
22 make two doses up together, dilute it in the water;
23 drink one when they get into bed and the other, in
24 a sealed cup, put away. Now, if they took the
25 second dose in ten minutes or two hours, we have

1 not done that study and it is very dangerous to
2 extrapolate that sort of dosing. On one hand, I
3 can quote the patient who took 180 g and was taken
4 to hospital unconscious and walked out of hospital
5 four hours later to be admitted to the psychiatric
6 unit.

7 I certainly don't want to propose that as
8 the normal pharmacodynamic response. We have not
9 done a study that has escalated beyond the 4.5 g
10 dose twice a night, and I think it is very
11 dangerous to extrapolate. It is also very
12 dangerous to extrapolate the anesthesia data or
13 some of the data that Dr. Dyer talked about this
14 morning. Doses were given up to 100 mg/kg
15 intravenously. If we believe the bioequivalence
16 data, the absolute bioavailability data, that is
17 equivalent to at least 300 mg/kg as an anesthetic
18 dose, and that would be the best dose relationship
19 we could give to dose escalation. Again, without
20 true data I am not prepared to extrapolate from
21 that.

22 DR. KAWAS: Dr. Mani, do you still want
23 the floor?

24 DR. MANI: Yes, very briefly, just as
25 further evidence of how much individual variability

1 there is in response to this drug. There is a
2 subject who Dr. Houghton had referred to in his
3 presentation this morning, a healthy subject
4 participating in a pharmacokinetic trial, a healthy
5 young subject who received a single dose of 4.5 g
6 and afterwards became obtunded, developed
7 obstructed respiration perhaps because of his jaw
8 falling back, became incontinent of urine and
9 stool, and took a number of hours to recover but
10 did not need any special supportive care. So, even
11 a 4.5 g dose may not be entirely safe for
12 everybody.

13 DR. HOUGHTON: That story is somewhat true
14 but not quite accurate. The patient was easily
15 arousable, walked to the bathroom after the event
16 of passed urine, after resting back in bed had a
17 normal sleep and, two hours later was awake and ate
18 a normal lunch. So, again, I can't account for the
19 degree of obtundation but that still represented
20 the maximum single dose in our database. It was a
21 single dose of 4.5 g after a 10-hour fast.

22 DR. MANI: Although those details about
23 the patient being able to get up and go to the
24 bathroom and eat her lunch, and so on, wasn't in
25 the narrative that we have available.

1 DR. HOUGHTON: We were collecting urine
2 samples every two hours and I can assure you the
3 patient was walked to the bathroom. She certainly
4 vomited at the time.

5 DR. KAWAS: Dr. Leiderman?

6 DR. LEIDERMAN: Very briefly because Dr.
7 Mani raised one of the points that I wanted to, but
8 the other question I had for the sponsor and the
9 sleep neurophysiologists here, do you think that in
10 some of the differential response that we are
11 seeing in the narcolepsy patients as compared to
12 the subjects who become dependent, addicted, have
13 overdose problems that there may be a role not only
14 of the basic neurophysiology of the narcoleptic
15 brain but, of course these patients tended to be
16 co-medicated with stimulants, and what role do you
17 think that might be playing in the narcolepsy
18 population?

19 DR. REARDAN: Is the concern that
20 stimulants would still be present on board when
21 they take their nightly dose of Xyrem? Is that
22 what you are after, or what?

23 DR. LEIDERMAN: Well, I am asking for your
24 thoughts on, shall we say, the differential effects
25 of GHB on the two populations, and one of the sort

1 of clear differences, taking sort of the first cut,
2 is that narcolepsy patients are co-medicated with
3 stimulants generally, whereas the abusing drug
4 population, if anything, is self co-medicating with
5 other CNS depressants or using GHB at high doses
6 alone.

7 DR. BLACK: I think there are a number of
8 questions that surface. We have patients in
9 protocols where they are wanting to remain on the
10 protocols or wanting to be drug compliant. There
11 are reasons that they wouldn't abuse in addition or
12 outside of the fact of co-pharmacy with stimulants
13 and so forth. So, it is hard to compare those two
14 groups clearly.

15 I think the best we can do is speculate.
16 We have a number of patients that were not
17 co-treated with stimulants as well, that were on
18 just Xyrem, and they didn't self-escalate the dose
19 or abuse the agent either. I think the only way to
20 do it would be to give high dose frequently to the
21 narcolepsy patient population and see if they are
22 similarly addictable, and then it would be also
23 interesting to find out what percentage of the
24 normal population is addictable as well.
25 Obviously, those studies couldn't be done. But I

1 think we can't compare the two and it is real hard
2 to try to extrapolate the information we have to do
3 a comparison.

4 DR. KAWAS: Dr. Dyer, followed by Dr. Van
5 Belle, followed by Elia Lacey, followed by the
6 questions that the FDA has asked us to consider.
7 In between, we will get a quick demonstration of
8 the mixing.

9 DR. HOUGHTON: Could I just add one point
10 of clarification to Dr. Leiderman's question?
11 There were patients in all of the studies that were
12 not on stimulants. In the GHB-2 study I think it
13 was about 15 percent when we did a recent look at
14 the database for Dr. Mani. So, there was at least
15 a proportion of patients represented in the
16 database that weren't on stimulants as concomitant
17 medication.

18 DR. DYER: There was one study, I believe
19 it was done in rats where amphetamines and then a
20 second with caffeine, where those were shown to
21 kind of be antidotal to GHB poisoning, where it
22 prevents the rats' loss of riding reflex. So,
23 there may be some of that issue if they are taking
24 it concurrently. One of the other things about the
25 disparity, where I don't see the disparity as being

1 so much is that the narcoleptics are taking their
2 dose at night. We know pretty commonly from the
3 surgical studies from what we see coming into the
4 emergency room and from the adverse effects of the
5 study, that GHB causes vomiting and incontinence.
6 So, we are seeing that in both populations of
7 patients.

8 DR. CHERVIN: Is anybody there?

9 DR. KAWAS: Yes, is that one of our phone
10 consultants, Dr. Chervin or Dr. Guilleminault?

11 DR. CHERVIN: Sorry, it seems like we were
12 completely cut off.

13 DR. KAWAS: Can you hear us now?

14 DR. CHERVIN: Just barely. If there is
15 any way you can make this signal more than barely
16 audible, it would be helpful?

17 DR. KAWAS: We can barely hear you but it
18 sounds like we are going to have to get the AV
19 people on it, if you give us a moment.

20 DR. CHERVIN: I do have questions if I
21 have time to ask them.

22 DR. KAWAS: I know that you are on a
23 timetable, so we will put you in the middle of the
24 six-person pileup, if we could let the speaker that
25 is going now finish though.

1 DR. DYER: So, there was another study
2 where they took the patients and the patients that
3 they gave the dose to and then forced or tried to
4 maintain themselves awake, those were the patients
5 that became confused.

6 The other thing is that in our emergency
7 department study where we were trying to verify our
8 ability to predict GHB by toxidrome, we looked at
9 patients that came in with a GCS score less than 8
10 that were spontaneously breathing. So, unlike most
11 CNS depressants that cause profound coma, generally
12 the breathing is still spontaneous and maintained.
13 You see mild respiratory acidosis but it is not
14 very common that these patients need to be
15 intubated. So, it is not contrary to be thinking
16 that a patient might be comatose and survive the
17 night.

18 DR. KAWAS: Dr. Van Belle, while we are
19 still working on the audio, do you want to go ahead
20 and ask your question?

21 DR. VAN BELLE: I just have a brief
22 question with respect to age eligibility. Will
23 this medication be available to people under 18
24 years old?

25 DR. REARDAN: The company has not

1 specifically developed data for pediatrics, and I
2 think this would have to be something we work out
3 with the agency but, typically, a medication
4 approved for adults is not denied children. FDA
5 and Congress have tried to put incentives in to get
6 sponsors to develop pediatric information. In
7 addition, narcolepsy is not generally a pediatric
8 disease. I don't know if either Dr. Mignot or Dr.
9 Black want to comment further. Dr. Katz?

10 DR. KATZ: Well, generally speaking,
11 unless there is a good reason not to, we would
12 limit the age that would be at least included in
13 the indications or in labeling or dosage
14 administration to the age of the lower limit of the
15 age studied in the trials. I don't know exactly
16 what the youngest patient was in these trials.

17 DR. REARDAN: Bill Houghton is saying 12.

18 DR. KATZ: Okay, 12. Again, if there was
19 one patient who was 12 and everybody else was 18
20 and above, we would say adults or 18 and above,
21 that kind of thing. It is true that there is no
22 prohibition, obviously, from a physician writing a
23 prescription for a drug for a child if it is only
24 explicitly approved for an adult. It happens
25 obviously all the time. But one of the questions

1 when we get to it with regard to risk management
2 and that sort of thing is if there were no children
3 studied, or children studied below a certain age,
4 do you think attempts should be made to restrict it
5 in this case? So, you know, it is open for
6 discussion.

7 DR. MIGNOT: To answer the question, onset
8 of the disease is roughly between 15 and 25. That
9 is really when the bulk of the patients are coming
10 in, especially for cataplexy, and I think it is
11 very important to treat them early. As there is
12 more and more knowledge about narcolepsy being an
13 important disease and being recognized early -- I
14 think you have heard a lot of testimony about how
15 important it is to treat them early so that they
16 can go through normal schooling. I think it will
17 be very important to not be too restrictive towards
18 the lower age.

19 DR. KAWAS: Dr. Lacey?

20 DR. LACEY: Two questions, one regarding
21 the packaging. With the packaging being in a
22 bottle and it is child-resistant dosing, and all,
23 but hearing about adolescents and their involvement
24 with GHB, I wondered if you considered other
25 packaging. In deciding on this packaging, did you

1 consider individual dosage packaging at all, and
2 what happened with that?

3 DR. REARDAN: We considered individual
4 dosing packaging for sure. We thought that was a
5 greater potential for diversion as it is easy to
6 take those individual doses. I think maybe you
7 would get some reassurance if Patti Engel can go
8 through how we instruct the patients to dose and
9 what the controls are for that. Patti?

10 MS. ENGEL: Thank you. To the point of
11 individual dosing, we did speak quite extensively
12 about that with law enforcement.

13 DR. LACEY: Yes, I am pretty convinced
14 about the patient. I am more concerned about
15 others in the household who are exposed to a
16 bottle.

17 MS. ENGEL: Right. I will address that as
18 well. On the individual dosing, law enforcement
19 was concerned about small containers that could be
20 stuck in a pocket or purse, or slipped in someone's
21 drink more easily. One of the things I shared with
22 you earlier is that the bottle itself comes with a
23 child-resistant closure. What is difficult to see
24 from this distance, but it is something called a
25 press-in bottle adaptor. When the patient gets

1 this, there is a little well, if you will, in
2 there. Even if a child can get this lid off, you
3 can't drink it down. What has to happen is there
4 is a metered syringe provided. It gets stuck in
5 here and the patient removes a metered dose. Okay?
6 They then have two child-resistant dosing cups and
7 these aren't fancy. We took them because they are
8 CPIS tested for child resistance, of course, and
9 they put it in, preparing both doses by their
10 bedside.

11 Now, the dose itself is metered. This
12 Xyrem, to be frank, is not good tasting stuff. It
13 is sodium oxybate. It is very salty. Many people
14 will dilute it. How much they dilute it really is
15 to their taste. We did not want to cherry flavor
16 it or anything like that that may make it more
17 attractive to children. Okay? Does that answer
18 your question?

19 DR. LACEY: It really wasn't the small
20 children that I was concerned about as I was about
21 the older, the adolescents in the household who can
22 open it the same as I could. So, I guess your
23 answer was that law enforcement was concerned about
24 the small dosages just being put in a pocket.

25 MS. ENGEL: That is right. Remember,

1 illicit use of Xyrem also falls under C-I
2 penalties, like heroin or LSD. So, we will never
3 be able to find a package that a 19- or a 21-year
4 old will not be able to get into. What we do,
5 however, is to educate the Xyrem patient on a
6 number of occasions of the penalties should that
7 occur. So, there is an element of patient
8 responsibility with this.

9 DR. LACEY: Thank you. The second
10 question I have is about the suicide attempts that
11 were presented by Dr. Houghton this morning. That
12 was in that list of adverse events I believe, and
13 it has continued to bother me that we talk about it
14 as a suicide attempt as though nothing else
15 happened and I am just curious, I guess, in those
16 attempts were some of the other adverse events also
17 experienced by those persons who were suicide
18 attempters?

19 DR. REARDAN: As you heard from Dr.
20 Mignot, depression is very common in narcoleptics,
21 but I will ask Bill to comment on that.

22 DR. HOUGHTON: In all the patients who
23 attempted suicide there was preexisting disease.
24 In terms of response to the dose taken, only one of
25 the suicide attempts involved Xyrem, and that was

1 the patient who took a very large dose, about 300
2 ml of the drug which is equivalent to at least 150
3 g, and he became comatose, incontinent of feces and
4 urine, continued to breathe spontaneously, was
5 found by his wife in the bathroom, transported to
6 the emergency medical care, did not require
7 intubation or ventilation, and walked out of
8 hospital four hours later to be admitted to the
9 psychiatric unit. I certainly don't propose that
10 as the norm. There will be certainly unconscious
11 patients at much lower doses. So, please don't
12 think I am proposing that as the pharmacodynamic
13 profile of the drug. But you asked me what the
14 side effects of the suicide event were and that is
15 the only data that I can give you.

16 The second suicide event that was not
17 fatal did not involve Xyrem. One of the fatal
18 attempts did not involve Xyrem at all. The last
19 suicide attack in the bipolar disorder patient was
20 a real pharmacologic cocktail involving
21 benzodiazepines, opiates, a number of drugs and
22 some Xyrem.

23 DR. LACEY: But for those individuals who
24 did have the suicide attempts, they did not have
25 other -- not with the attempt directly but other

1 adverse events also in their report?

2 DR. HOUGHTON: No. One of those was a
3 lady who had a group of people to her home. She
4 asked them all to leave early, and when attempted
5 to be contacted the next morning didn't respond,
6 and when her attentions were sought she was found
7 dead in the home.

8 The second attempt was a young lady who
9 took an overdose of buspirone and told her father
10 immediately. Her behavior was normal to that
11 point. So, that is an example.

12 DR. KAWAS: Dr. Chervin or Dr.
13 Guillemineault, can you hear us now? You guys are
14 next in the line up.

15 DR. CHERVIN: Thank you. I have two
16 questions. Please tell me if it has been covered
17 and I just was not able to hear it, but I read in
18 some of the material that was distributed prior to
19 the meeting about comparisons of the therapeutic
20 index or the therapeutic window for GHB to that of
21 other drugs that are currently approved and used.
22 I was wondering if perhaps Dr. Dyer or Dr.
23 Falkowski or Dr. Balster could address that
24 comparison.

25 DR. DYER: Is that the comparison of LD-50

1 in rats?

2 DR. CHERVIN: I guess it was rats, and it
3 was LD-50 and effective dose, and they looked at
4 the ratio.

5 DR. DYER: The problem I have with some of
6 the rat data, lethal dose data, is the deaths we
7 see are often secondary to coma. It takes high
8 doses to cause pure respiratory depression. We
9 have some patients that idiosyncratically have a
10 pulmonary edema, but most of the deaths are
11 secondary to unprotected coma and loss of airway.
12 So, I don't know that that would extrapolate or
13 come from rat data at all. I don't think you would
14 see that.

15 DR. CHERVIN: Is there any other way to
16 get at the issue of is Xyrem going to be more
17 dangerous than other drugs that are used carefully
18 when indicated?

19 DR. REARDAN: Dr. Chervin, I have some
20 data on LD-50 that will help. Oral GHB has an
21 LD-50 on the order of 9000 mg/kg in rats, and about
22 3500 mg/kg in mice. The IV LD-50 is about a third
23 of that for GBL and for butanediol it is on the
24 order of 2000 mg/kg. If you look at the effective
25 dose, we are in the range, I believe, of about

1 50-120 mg/kg recommended for the narcoleptic
2 patients. Now, that is just on an LD-50 basis. I
3 don't know if Dr. Mani wants to comment on the
4 therapeutic range, or Dr. Katz.

5 DR. KATZ: I don't think we really know.
6 I am not sure if the animal data is relevant at
7 all. And, I don't think we have data that, in a
8 systematic, adequate way, explores the full dose
9 response both with efficacy or tolerability. As
10 you have said, you have done a trial where the
11 maximum dose, fixed dose, was 9 g per night and,
12 you know, we either decide that that was a
13 tolerable dose or it wasn't. And, you have the
14 dose response for the effectiveness, and that is
15 all you have. As you acknowledge, you haven't
16 explored higher doses so I don't think we really
17 know, and I don't know how you would really get at
18 the question of how the therapeutic window, if
19 there is one, compares to other drugs that are in
20 common use. Some drugs that are used, there is a
21 belief that they have a very narrow therapeutic
22 windows, and some are wide. I don't think you can
23 say more than that.

24 DR. REARDAN: I don't disagree.

25 DR. GUILLEMINAULT: I have a question.

1 Narcoleptic patients have hypnagogic
2 hallucinations. They may even shoot -- if a gun is
3 available they may hurt their bed partner because
4 they are keeping their hallucination. How much
5 does Xyrem decrease hypnagogic hallucinations,
6 which is a very significant side effect which may
7 kill neighbors and may kill even bed partners?

8 DR. REARDAN: If I understand the
9 question, Dr. Guilleminault, it is how much did
10 Xyrem reduce hypnagogic hallucinations in our
11 trials, and I guess my first response is the
12 incidence was very low and we did not see a
13 statistical significance in GHB-2. I don't know if
14 Dr. Houghton wants to comment further on hypnagogic
15 hallucinations.

16 Just while they are finding the data, it
17 is fair to say that the incidence of hypnagogic
18 hallucinations recorded in the four-week trial was
19 very low. There was a trend towards improvement
20 that certainly didn't reach statistical
21 significance. There was a better representation in
22 the long-term open-label study and we could show
23 that but I am loathe to do so because I certainly
24 don't want to claim it as efficacy. I think we
25 will be able to find the GHB-2 data.

1 [Slide]

2 DR. HOUGHTON: In the Lammers study there
3 was a reduction from 0.87 hypnagogic hallucinations
4 per night over the 4-week treatment period to 0.28
5 incidence per night, with a p value of 0.008. That
6 is one set of figures.

7 DR. MIGNOT: Just to sort of expand on
8 what you said, if only about 40-60 percent of
9 patients we narcolepsy/cataplexy have hypnagogic
10 hallucinations as their symptoms or sleep
11 paralysis, then obviously that must reduce the
12 power for the trial because they have only about
13 half of the patients they included who even had
14 that symptom.

15 [Slide]

16 DR. REARDAN: This is a slide from GHB-3.
17 I guess that is open label, I don't know if we want
18 to go into that. What it shows is median change
19 from baseline to visit number and out through 12
20 months. You see a median change in hypnagogic
21 hallucinations, a reduction of 0.35 per day. Is
22 that right?

23 DR. KAWAS: Dr. Penis and then Dr.
24 Falkowski and then this committee will be looking
25 at the questions that the FDA has asked us to vote

1 on.

2 DR. PENIX: I think we have to anticipate
3 several different possibilities in the treatment of
4 patients with any drug, and I am somewhat concerned
5 about the fact that the effective dose of Xyrem
6 appears to be the maximum dose available, number
7 one. Secondly, in regards to the possible
8 protective effects of stimulants on the side effect
9 of sedation, and whether we should consider Xyrem
10 as a monotherapy drug or as an adjunctive
11 treatment, and the question I would like to ask --
12 I think Dr. Houghton may have presented this data
13 of talked about it, of the 15 percent of patients
14 who did not receive stimulants while on Xyrem
15 whether there was a difference in the maximum dose
16 escalation in those patients compared to the ones
17 who were on stimulants. I am not sure if we can
18 answer the question, but if there is data on that,
19 if there is a difference.

20 DR. HOUGHTON: No, we don't have data
21 separate for those on stimulants and those not on
22 stimulants. There was only about 15 percent in
23 that controlled trial that were not on stimulants.
24 So, we hadn't plotted that at all. Remember that
25 stimulants are taken in the morning and usually the

1 last dose at lunch because narcoleptics are really
2 trying to sleep at night and stimulants really
3 complicate that, and the half-life of the gama
4 hydroxybutyrate is about an hour.

5 So, even after their second dose their
6 plasma levels on awakening in the morning are
7 extraordinarily low. So, a contribution of
8 stimulants to change that is quite unlikely. We
9 certainly didn't see an abnormal sleep response in
10 the normal volunteers in any of the pharmacokinetic
11 studies, except the one patient who became
12 obtunded, and she was awake four hours later and
13 ate lunch, and then went home that day. So, the
14 only real suggestion of data I could give you in
15 the absence or stimulants is the single dose
16 response or the repeat dose response in the
17 pharmacokinetic studies, and that certainly didn't
18 appear to be different at all.

19 DR. BLACK: I would just comment on the
20 notion of a potential protective effect with
21 stimulants. With the traditional stimulants, they
22 are relatively short acting and there is a
23 phenomenon called rebound hypersomnia as the
24 medication wears off -- well demonstrated in
25 animals and humans -- where the individual becomes

1 more sleep than they would have been had they not
2 taken a medication; often a problem for those with
3 narcolepsy who are using those medications.

4 Rather than those stimulants keeping
5 people more awake and less affected by the Xyrem
6 dose, there is the potential for even greater
7 sleepiness with that rebound hypersomnia. That has
8 not been well explored, but I think it would be
9 erroneous to assume that there is any protective
10 effect from the traditional stimulants. From the
11 longer acting stimulant, modafinil, sleep studies
12 have been done to suggest that there is no impact
13 one way or the other on sleep in terms of depth of
14 sleep and so forth.

15 DR. KAWAS: Dr. Falkowski?

16 DR. FALKOWSKI: I have to take issue --
17 well, I already did with the statement that Xyrem
18 will not contribute to the public health problem of
19 abuse of GHB-like substances because I think it
20 will and I want to take just a few minutes to
21 elaborate on why that might be something I couldn't
22 cover in the confines of my 15 minutes as well as
23 covering those other points.

24 I had occasion last week, in Philadelphia,
25 to present at a conference on drug abuse addiction

1 professionals from around the country, and since I
2 speak about drugs of abuse, when I got to GHB I
3 said, so, tell me about GHB in your community.
4 Having heard from 15 people from 15 distinct parts
5 of the country on this, a common theme emerged and
6 that had to do with the fact that people who were
7 abusing it couldn't quite get the dosing right
8 because they kept passing out. Passing out became
9 sort of a way of life. I think in Dr. Dyer's data
10 we even saw that as well.

11 This is a drug that causes people to lose
12 consciousness and in some cases respiratory arrest.
13 Well, I think this is particularly relevant because
14 if dosing is the problem I believe that this will
15 only make more attractive a predictable dose as a
16 known entity in a prescription product. "Gee, I can
17 get around all these dosing problems by getting the
18 prescription."

19 I am also concerned that none of the
20 sponsor's packaging that I looked at even mentions
21 the word gamma hydroxybutyrate, or did I miss that?
22 I looked for it; I didn't see that. That concerns
23 me because, as we have seen with oxycodon, we know,
24 for example and I think it is a good case, we
25 know that narcotic addicts will seek out

1 prescription narcotics for predictable dosing and
2 for predictable purity. And, we have seen an
3 increase once long-acting oxycodone was developed --
4 we have seen an expansion in its prescribing not
5 just for chronic pain but for the treatment of even
6 acute pain. That plays out to the tune of 300,000
7 oxycodone prescriptions in 1998 and over 5 million
8 oxycodone prescriptions in the year 2000.

9 What people have to do, what drug seekers
10 have to do to acquire it is go to a doctor and
11 feign pain. This happens with unsuspecting doctors
12 and it is happening in all parts of the country.

13 Now, diversion of drugs does not occur by
14 people storming with machine guns the one central
15 manufacturing. It occurs at the patient-doctor
16 level. And, I am very concerned about the
17 possibility of folks who are having trouble.
18 Again, this is a diverse population; it is not just
19 kids using drugs. This is weight-lifters, these
20 are people seeking effects, going to a doctor and
21 saying, gee, you can get around all that; just go
22 to a doctor and tell him you are sleepy. Just go
23 to a doctor and tell him you collapsed. This is
24 really seriously my concern about this, and I don't
25 think that these two issues are separate. This

1 drug has a huge following.

2 DR. KAWAS: I would now like to focus on
3 the questions that the FDA has asked us to vote on.
4 Do you feel very strongly that your comments are
5 necessary before that?

6 DR. RISTANOVIC: I am going to make a
7 comment extremely brief. The comment is very brief
8 because in today's time we know how to diagnose
9 narcolepsy. So, there is no way, even if someone
10 is trying to malingering, to be given a diagnosis
11 without appropriate testing in the sleep lab. That
12 is a prerequisite.

13 DR. KAWAS: Thank you.

14 DR. RISTANOVIC: That is all.

15 DR. KAWAS: The FDA has given us three
16 questions that they want this panel to vote on, and
17 a whole page and a half of other items that they
18 would like this committee to discuss.

19 So, I would first like to ask them if it
20 is acceptable to facilitate the discussion, can I
21 make the decision to split the first question into
22 two?

23 DR. KATZ: Absolutely.

24 DR. KAWAS: Thank you. It might be the
25 only thing that gets done quickly today. The first

1 question is going to be has the sponsor
2 demonstrated efficacy of Xyrem for the proposed
3 indication to treat cataplexy? I am opening the
4 floor for discussion on that. Yes, Dr. Katz?

5 DR. KATZ: Again, I think it is very
6 important for us to hear a discussion about dose
7 and which dose. I mean, I mentioned that earlier
8 in my comments this morning, but if you could
9 address that it would be very helpful.

10 DR. KAWAS: Absolutely. In fact, maybe I
11 would like to facilitate this part because I think
12 this is the easiest thing that is going to happen
13 in the next hour. To my mind, there have been two
14 pivotal studies that have suggested efficacy for
15 this drug in relationship to cataplexy at the 9 g
16 level. Maybe by making that not overly provocative
17 comment we can stimulate discussion. Does anyone
18 want to comment on the dose or the effect on
19 cataplexy before we vote?

20 DR. FALKOWSKI: Is that the recommended
21 dose? It is not. That is why I am sincerely
22 confused because the study seemed to show efficacy
23 at 9 g, yet, the recommended dose is something
24 other than that and that needs explanation. I
25 don't understand that.

1 DR. KAWAS: Any other comments? Richard?

2 DR. PENN: I was going to make it a motion
3 so we would save some steps. I think it is very
4 clear that what you said is a good summary of the
5 case that, in fact, they haven't set the dose at 9.
6 They have suggested a different dose regimen and
7 that has to be looked into very carefully. But the
8 one thing I think we all we agree on is your
9 statement. I would, therefore, put it as a motion,
10 since we are supposed to do a motion so that that
11 has been shown.

12 DR. KAWAS: Would you like to make a
13 comment, Gerald, before we pick the motion that is
14 about to be on the floor?

15 DR. VAN BELLE: Sure. Well, I think it is
16 the issue of dose response that I am struggling
17 with in this case in terms of the pharmacokinetic
18 model. If you assume that there is a
19 pharmacokinetic model that is dose related, I would
20 say if evidence has been shown for an effect at 9
21 there is probably an effect at 8.5 as well. Well,
22 where do you draw the line at that time, and I
23 don't quite know where to do that. I think there
24 is ambiguous evidence for an effect at 6 and one
25 study showed that. So, if you want the technical

1 answer, I think there is only evidence for clinical
2 effectiveness at 9 but that ignores, to my mind,
3 the pharmacokinetic aspects of the data so I am
4 struggling with this.

5 DR. KAWAS: Could we restate Dr. Penn's
6 motion that this committee vote on whether or not
7 there has been efficacy demonstrated of this drug
8 for the treatment of cataplexy and, specifically at
9 the dosage of 9?

10 DR. SIMPSON: This may be my ignorance,
11 but when something is labeled, for example, that it
12 is efficacious at a dose of 9, does that mean that
13 a doctor would necessarily prescribe it at 9? He
14 could prescribe it quite a lot higher, couldn't he?

15 DR. PENN: That is going to get us into
16 the next thing, which is how this is going to be
17 monitored. Because it sounds like we want to put
18 an absolute dose limit and we don't want to allow
19 variability in the population. By the technical
20 way we are going to allow this out, if they are
21 going to be watching how much a patient can take,
22 then is a doctor going to be allowed the latitude a
23 patient more, and you are asking can they be given
24 less? I think the answer is usually the doctor
25 makes that decision. Everybody understands that is

1 the mean does that you have to use but that doesn't
2 mean your patient will respond to it. So, there is
3 the latitude unless we put into force this
4 voluntary program.

5 DR. KAWAS: I would like to focus this
6 committee back on the questions or we will never --
7 well, we will have everyone on a plane without a
8 quorum in order to vote on these issues.

9 The first question really isn't so much
10 about safety and what a doctor will do, the FDA has
11 just asked us have they demonstrated efficacy for
12 this drug in either of the two indications.

13 DR. FALKOWSKI: I believe they have
14 demonstrated efficacy for reducing cataplexy in
15 cataplectic narcoleptics on stimulant drugs. I
16 think that is what their studies have shown us
17 today.

18 DR. KAWAS: Okay. We will be taking a
19 vote and everyone's vote is going to count. Are
20 there any other comments people want to make before
21 we put Dr. Penn's motion on the floor?

22 DR. SIMPSON: I really agree that they
23 haven't necessarily demonstrated efficacy in
24 treating cataplexy but really in reducing
25 cataplexy.

1 DR. KAWAS: Do you want to put your motion
2 on the floor again?

3 DR. PENN: The company has shown efficacy
4 at 9 g per day using a 4.5 divided dose for
5 treating cataplexy in narcoleptic patients.

6 DR. KAWAS: These votes are going to have
7 to be recorded individually I think. So, can we
8 start with everyone who agrees that the sponsor has
9 demonstrated efficacy of Xyrem for the proposed
10 indication to treat cataplexy? Please raise your
11 hands now.

12 I just want to remind everybody that the
13 voting members of the committee actually are sort
14 of in the central part of the table, beginning with
15 Dr. Simpson and then going around to Dr. Penix.
16 All who agree the company has demonstrated efficacy
17 for cataplexy, raise your hand.

18 [Show of hands]

19 How about if we go around and identify,
20 and start with Dr. Penix for the record?

21 DR. PENIX: I agree.

22 DR. KAWAS: Just your name.

23 DR. PENIX: Dr. Penix.

24 DR. VAN BELLE: Van Belle.

25 DR. PENN: Penn.

1 DR. KAWAS: Kawas.

2 DR. WOLINSKY: Wolinsky.

3 DR. ROMAN: Roman.

4 DR. KAWAS: All the people who do not feel
5 the company has shown efficacy for the treatment of
6 cataplexy, please raise your hand and start
7 identifying.

8 [Show of hands]

9 DR. SIMPSON: Simpson.

10 DR. FALKOWSKI: Falkowski.

11 DR. LACEY: Lacey.

12 DR. KAWAS: I think that was everyone, so
13 no abstentions in that case.

14 Moving on to the next hard one, has the
15 sponsor demonstrated --

16 DR. KATZ: Dr. Simpson and Falkowski, I
17 believe in your comments you said you thought there
18 was an effect demonstrated, or something, but the
19 vote went the other way. I just want to
20 understand.

21 DR. FALKOWSKI: Right, I believe that they
22 have demonstrated that there is some evidence of
23 efficacy for reducing cataplexy in cataplectic
24 narcoleptics on stimulant drugs. These studies
25 have been conducted on people who were already on